

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ADVISORY COMMITTEE FOR REPRODUCTIVE HEALTH DRUGS

Afternoon Session

March 4, 2013

1:00 p.m. to 5:00 p.m.

FDA White Oak Campus
Building 31, The Great Room (Room 1503)
White Oak Conference Center
Silver Spring, Maryland

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

Kalyani Bhatt, BS, MS

Division of Advisory Committee and Consultant

Management

Office of Executive Programs, CDER, FDA

ADVISORY COMMITTEE FOR REPRODUCTIVE HEALTH DRUGS

MEMBERS (Voting)

Richard Bockman, MD, PhD

Head, Endocrine Service

The Hospital for Special Surgery

New York, New York

Toby Chai, MD

Vice Chair of Research

Co-Director of Female Pelvic Medicine and

Reconstructive Surgery Program

Department of Urology

Yale School of Medicine

New Haven, Connecticut

1 Bart Clarke, MD

2 Associate Professor of Medicine

3 Mayo Clinic College of Medicine

4 Department of Medicine, Endocrinology,

5 Diabetes, Metabolism and Nutrition

6 Rochester, Minnesota

7

8 Kathryn M. Curtis, PhD

9 Women's Health and Fertility Branch

10 Division of Reproductive Health

11 Centers for Disease Control and Prevention

12 Atlanta, Georgia

13

14 Julia V. Johnson, MD

15 (Chairperson)

16 Professor and Chair

17 Department of Obstetrics and Gynecology

18 University of Massachusetts Medical School

19 Worcester, Massachusetts

20

21

22

1 John Kittelson, PhD

2 Department of Biostatistics and Informatics

3 University of Colorado Denver

4 Aurora, Colorado

5

6 Michele J. Orza, ScD

7 (Consumer Representative)

8 Senior Advisor to the Executive Director

9 Patient-Centered Outcomes Research Institute

10 Washington, District of Columbia

11

12 Valerie Montgomery Rice, MD

13 Health Research

14 Dean and Executive Vice President

15 Office of the Dean Morehouse School of Medicine

16 Atlanta, Georgia

17

18 Clifford J. Rosen, MD

19 Director of Clinical and Translational Research

20 Maine Medical Center Research Institute

21 Scarborough, Maine

22

1 ADVISORY COMMITTEE FOR REPRODUCTIVE HEALTH DRUGS

2 MEMBER (Non-Voting)

3 Keith Gordon, PhD

4 (Industry Representative)

5 Regional Director Medical Affairs Women's

6 Health & Endocrine, USA

7 Merck & Company

8 Whitehouse Station, New Jersey

9

10 **TEMPORARY MEMBERS (Voting)**

11 (*Morning and Afternoon Sessions*)

12 Deborah K. Armstrong, MD

13 Associate Professor of Oncology

14 Associate Professor of Gynecology & Obstetrics

15 Johns Hopkins Kimmel Cancer Center

16 Baltimore, Maryland

17

18 Adrian Dobbs, MD

19 Professor of Medicine

20 Johns Hopkins University School of Medicine

21 Baltimore, Maryland

22

1 Daniel L. Gillen, PhD

2 Associate Professor of Statistics

3 Donald Bren School of Information and Computer

4 Sciences

5 University of California

6 Irvine, California

7

8 Linda Keyes, PhD

9 (Patient Representative)

10 Davis, California

11

12 Eleanor Bimla Schwarz, MD, MS

13 Associate Professor of Medicine

14 University of Pittsburgh School of Medicine

15 Department of Medicine, Epidemiology,

16 Obstetrics, Gynecology, and Reproductive Science

17 Pittsburgh, Pennsylvania

18

19

20

21

22

1 FDA PARTICIPANTS (Non-Voting) (Afternoon Session)
2 Hylton Joffe, MD, MMSc
3 Director
4 DRUP, ODEIII, OND, CDER, FDA
5
6 Lisa Soule, MD
7 Clinical Team Leader
8 DRUP, ODEIII, OND, CDER, FDA
9
10 Ron Orleans, MD
11 Medical Officer
12 DRUP, ODEIII, OND, CDER, FDA
13
14 Jia Guo, PhD
15 Mathematical Statistician
16 Division of Biometrics III
17 Office of Biostatistics
18 Office of Translational Sciences
19 CDER, FDA
20
21
22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Julia Johnson, MD	10
5	Conflict of Interest Statement	
6	Kalyani Bhatt, BS, MS	14
7	Introductory Remarks	
8	Hylton Joffe, MD, MMSc	18
9	Sponsor Presentations - Noven Pharmaceuticals	
10	Introduction	
11	Joel Lippman, MD, MPH, FACOG	25
12	Patient Burden and Treatment	
13	David Portman, MD	28
14	LDMP Efficacy	
15	Joel Lippman, MD, MPH, FACOG	31
16	Statistical Evaluation	
17	Brent Blumenstein, PhD	39
18	LDMP Safety	
19	Joel Lippman, MD, MPH, FACOG	43
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Risk Management	
4	S. Elizabeth Lucini, PharmD	49
5	Clinical Perspective	
6	David Portman, MD	53
7	Clarifying Questions to Sponsor from Committee	61
8	FDA Presentations	
9	Overview	
10	Ronald Orleans, MD	93
11	Efficacy	
12	Jia Guo, PhD	101
13	Safety	
14	Ronald Orleans, MD	107
15	Clarifying Questions to FDA or Sponsor from	
16	Committee	116
17	Open Public Hearing	126
18	Questions to the Committee and Discussion	154
19	Adjournment	200
20		
21		
22		

1 P R O C E E D I N G S

2 (1:00 p.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. JOHNSON: Good afternoon, everyone. If
6 everyone could kindly take their seats. I would
7 like to remind everyone present to please silence
8 your cell phones, BlackBerrys, or other devices for
9 which you have not already done so. I would also
10 like to identify the FDA press contact for this
11 meeting, Stephanie Yao.

12 My name is Julia Johnson. I am the
13 chairperson for this advisory committee for
14 reproductive health drugs. I will now call this
15 afternoon session of the meeting of the Advisory
16 Committee for Reproductive Health Drugs to order.
17 We will start by going around the room and
18 introducing ourselves. I know we did this, this
19 morning, but we need to do so again. Let us go
20 ahead and start on our left. Dr. Bockman. Or
21 actually, I apologize. Dr. Soule.

22 DR. SOULE: I am Lisa Soule. I'm a clinical

1 team leader in the Division of Reproductive and
2 Neurologic Products.

3 DR. ORLEANS: I'm Ron Orleans. I'm a
4 medical officer in the same division.

5 DR. GUO: I'm Jia Guo, statistical reviewer
6 at FDA.

7 DR. BOCKMAN: Richard Bockman,
8 endocrinologist from Weill Cornell in New York.

9 DR. CURTIS: Kate Curtis, an epidemiologist
10 from the Division of Reproductive Health at CDC.

11 DR. KITTELSON: John Kittelson,
12 biostatistics, from the University of Colorado.

13 DR. ORZA: Michele Orza, consumer
14 representative with Patient-Centered Outcomes
15 Research Institute.

16 DR. CHAI: Toby Chai. I'm an urologist at
17 Yale School of Medicine, New Haven, Connecticut.

18 DR. MONTGOMERY RICE: Valerie Montgomery
19 Rice, dean and executive vice president, Morehouse
20 School of Medicine, reproductive endocrinology.

21 MS. BHATT: Good afternoon. I'm Kalyani
22 Bhatt. I'm with the Division of Advisory Committee

1 Consultants Management.

2 DR. JOHNSON: Julia Johnson, chair of
3 OB/GYN, University of Massachusetts, and chair of
4 this committee.

5 DR. ROSEN: Cliff Rosen, endocrinologist,
6 Maine Medical Center.

7 DR. CLARKE: Bart Clarke, endocrinologist,
8 Mayo Clinic, Rochester, Minnesota.

9 DR. ARMSTRONG: Deborah Armstrong, medical
10 oncologist, Johns Hopkins.

11 DR. DOBBS: Adrian Dobbs, endocrinologist,
12 Johns Hopkins.

13 DR. KEYES: Linda Keyes, patient
14 representative.

15 DR. GILLEN: Daniel Gillen, Department of
16 Statistics, University of California.

17 DR. SCHWARZ: Bimla Schwarz, from the
18 University of Pittsburgh.

19 DR. GORDON: Keith Gordon, Merck, industry
20 representative.

21 DR. JOFFE: Hylton Joffe, director of the
22 Division of Reproductive and Neurologic Products at

1 FDA.

2 DR. JOHNSON: Thank you and welcome
3 everyone.

4 For topics such as are going to be discussed
5 at today's meeting, there are often a variety of
6 opinions, some of which are quite strongly held.
7 Our goal for today's meeting is to be fair and have
8 an open forum for discussion of these issues, and
9 that individuals can express their views without
10 interruption. Thus, a gentle reminder, individuals
11 will be allowed to speak into the record only if
12 recognized by the chair. We look forward to a very
13 productive meeting.

14 In the spirit of the Federal Advisory
15 Committee Act and the Government in the Sunshine
16 Act, we ask that the advisory committee members
17 take care that their discussions about the topic at
18 hand take place only with the open forum of this
19 meeting. We are aware that the media is anxious to
20 discuss these issues with the FDA, however, the FDA
21 will refrain from discussing details of this
22 meeting with the media until its conclusion.

1 Also, the committee is reminded to refrain
2 from discussing the meeting topic during our break.
3 Thank you.

4 **Conflict of Interest Statement**

5 MS. BHATT: I will be reading the Conflict
6 of Interest Statement.

7 The Food and Drug Administration is
8 convening today's meeting of the Advisory Committee
9 for Reproductive Health Drugs under the authority
10 of the Federal Advisory Committee Act, FACA 1972.
11 With the exception of the industry representative,
12 all members and temporary voting members of the
13 committee are special government employees or
14 regular federal employees from other agencies and
15 are subject to federal conflict of interest laws
16 and regulations.

17 The following information on the status of
18 this committee's compliance with federal ethics and
19 conflict of interest laws covered by, but not
20 limited to, those found at 18 USC Section 208 is
21 being provided to participants in today's meeting
22 and to the public.

1 FDA has determined that members and
2 temporary voting members of this committee are in
3 compliance with federal ethics and conflict of
4 interest laws. Under 18 USC Section 208, Congress
5 has authorized FDA to grant waivers to special
6 government employees and regular federal employees
7 who have potential financial conflicts when it is
8 determined that the agency's need for a particular
9 individual's services outweighs his or her
10 potential financial conflict of interest.

11 Related to the discussion of today's
12 meeting, members and temporary voting members of
13 this committee have been screened for potential
14 financial conflicts of interest of their own, as
15 well as those imputed to them, including those of
16 their spouses or minor children and, for purposes
17 of 18 USC Section 208, their employers. These
18 interests may include investments, consulting,
19 expert witness testimony, contracts, grants,
20 CRADAs, teaching, speaking, writing, patents and
21 royalties, and primary employment.

22 The agenda for this afternoon involves the

1 discussion of new drug application 204516,
2 paroxetine mesylate, 7.5 milligram capsules,
3 submitted by Noven Pharmaceuticals for the proposed
4 indication of treatment of moderate to severe
5 vasomotor symptoms associated with menopause.

6 This is a particular matters meeting, during
7 which specific matters related to Noven
8 Pharmaceutical's NDA will be discussed. Based on
9 the agenda for today's meeting and all financial
10 interests reported by the committee members and
11 temporary voting members, no conflict of interest
12 waivers have been issued in connection with this
13 meeting.

14 To ensure transparency, we encourage all
15 standing committee members and temporary voting
16 members to disclose any public statements that they
17 may have made concerning the product at issue.

18 With respect to FDA's invited industry
19 representative, we would like to disclose that
20 Dr. Keith Gordon is participating in this meeting
21 as a nonvoting industry representative, acting on
22 behalf of regulated industry. Dr. Gordon's role at

1 this meeting is to represent industry in general
2 and not any particular company. Dr. Gordon is
3 employed by Merck.

4 We would like to remind members and
5 temporary voting members that if the discussion
6 involves any other products or firms not already on
7 the agenda for which an FDA participant has a
8 personal or imputed financial interest, the
9 participants need to exclude themselves from such
10 involvement, and their exclusion will be noted for
11 the record. FDA encourages all other participants
12 to advise the committee of any financial
13 relationship that they may have with the firm at
14 issue. Thank you.

15 DR. JOHNSON: Thank you very much.

16 We can now proceed with the FDA opening
17 remarks from Dr. Hylton Joffe. I would like to
18 remind public observers at this meeting that while
19 this is a meeting that's open to public
20 observation, public attendees will not participate
21 except at the specific request of the panel.

22 Dr. Joffe.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Introductory Remarks - Hylton Joffe

DR. JOFFE: Good afternoon, everyone, and welcome back. This afternoon session, as you heard, is on paroxetine mesylate for the treatment of vasomotor symptoms, moderate to severe vasomotor symptoms, or hot flashes, associated with menopause. And some of the slides that I'll be presenting here are very similar to what I presented this morning. But this is a separate session, and there may be folks here who weren't here this morning, so I think they bear repeating.

So what I'd like to do over the next five minutes or so is explain why we decided to bring paroxetine to the advisory committee, again, give a brief overview of FDA's approach to developing treatments for vasomotor symptoms due to menopause, and ending with the questions that we're going to ask the committee to discuss and vote upon.

So why discuss paroxetine? Well, paroxetine is approved for other indications, psychiatric indications. And if it obtains an indication for the treatment of moderate to severe vasomotor

1 symptoms, that would potentially make this the
2 first approved non-hormonal treatment for that
3 condition. As I mentioned at the earlier session,
4 FDA sees a lot of value in developing non-hormonal
5 treatments because not all women can use the
6 available hormonal therapies. With that said, FDA
7 feels strongly that our approval standards should
8 be met with regard to a positive benefit/risk
9 assessment for the product.

10 As you will hear during the presentations,
11 there were two phase 3 paroxetine clinical trials.
12 Each had four co-primary efficacy endpoints, and
13 one of those co-primary efficacy endpoints was not
14 met in one of the trials. Also, at week 12, there
15 was a statistically significant reduction in the
16 frequency of symptoms, and the question is whether
17 that's clinically relevant to the study
18 participants or not.

19 Again, we used draft guidance from 2003,
20 which talks about treatments for vasomotor
21 symptoms. And over many, many years, we've applied
22 these to products, both hormonal and non-hormonal

1 products that are being developed for the treatment
2 of vasomotor symptoms. The link to the guidance is
3 on the bottom of the slide.

4 Some selected recommendations from the
5 guidance are shown on this slide. Again, we
6 recommend randomized double-blind clinical trials
7 of at least 12 weeks in duration. We recommend
8 that women be enrolled with at least 7 or 8
9 moderate to severe hot flashes per day or at least
10 50 to 60 per week at baseline.

11 Then, for efficacy, we recommend the
12 following four co-primary efficacy endpoints.
13 These again are applied to moderate to severe
14 vasomotor symptoms, and they look at the mean
15 change in frequency from baseline to week 4,
16 frequency from baseline to week 12, severity from
17 baseline to week 4, and severity from baseline to
18 week 12. Looking at two time points gives an
19 assessment of durability of effect over time.

20 With regard to severity, this is the
21 standard scoring we've used for many years. Mild
22 hot flashes are those that cause a sensation of

1 heat without sweating. Moderate provides a
2 sensation of heat with sweating, but the woman is
3 able to continue her activity, whereas severe,
4 there's a sensation of heat with sweating, and it
5 causes cessation of activity.

6 Here's the formula that was agreed to by FDA
7 and the applicant for calculating the severity
8 score before the studies got underway. This is
9 assessed at baseline and at weeks 4 and 12. Again,
10 it takes into account the number of moderate and
11 severe hot flashes, and it weights them with a
12 factor of 2 for moderate and 3 for severe. For
13 vasomotor treatments, we either use this formula,
14 and we've also used a variation on this formula
15 that takes into account mild symptoms at weeks 4
16 and 12. But as mentioned previously, this was the
17 agreed-to formula between FDA and the applicant
18 before studies got underway.

19 Two other topics I wanted to touch on again.
20 These are not included in the guidance, but they
21 are important. The first is clinical
22 meaningfulness, and it asks whether any reduction

1 we see in the frequency of moderate to severe hot
2 flashes, relative to placebo, whether that
3 reduction is clinically meaningful to the study
4 participants. We ask applicants to prespecify the
5 support of analysis, and it comes into play if the
6 reduction in frequency in the pivotal trials is
7 found to be small but statistically significant.
8 And by small, as you heard this morning, we talk
9 about a reduction of about less than two episodes
10 per day over placebo.

11 As you'll hear, paroxetine did meet a
12 reduction in frequency that was statistically
13 significant at weeks 4 and 12 and that this
14 reduction was less than the two per day threshold.
15 And that's why clinical meaningfulness then enters
16 into the picture, and you'll hear the results from
17 those analyses in a little while.

18 The other topic we're interested in is
19 persistence of benefit. And as I mentioned this
20 morning, this is being asked of all non-hormonal
21 therapies to date, development programs to date.
22 And basically, we ask companies to look at whether

1 the reduction in frequency of moderate to severe
2 hot flashes persist out to week 24. Again, this is
3 a prespecified supportive analysis, and this will
4 be an analysis we'll look at with paroxetine as
5 well, given that it met statistically significant
6 reductions in frequency at weeks 4 and 12.

7 I'll just end with the questions we're going
8 to ask the committee to discuss and vote upon. The
9 first one is: Based on the prespecified analyses,
10 is there sufficient evidence to conclude that
11 paroxetine mesylate is effective in treating
12 moderate to severe vasomotor symptoms associated
13 with menopause? Please provide a rationale for
14 your vote and, if applicable, any additional
15 recommendations.

16 The second question asks: Based on the
17 prespecified analyses, is there sufficient evidence
18 to conclude that the change from baseline in VMS
19 frequency is clinically meaningful to women?
20 Please provide a rationale for your vote and, if
21 applicable, any additional recommendations.

22 Then the last question asks: Is the overall

1 risk/benefit profile of paroxetine mesylate
2 acceptable to support approval of this product for
3 the proposed indication? Please provide a
4 rationale of your vote and, if applicable, any
5 additional recommendations.

6 With that, I will turn this back to the
7 chair. And I want to thank everyone for coming,
8 and I look forward to an interesting discussion.

9 DR. JOHNSON: Thank you very much.

10 Now, we can proceed with the sponsor
11 presentations. As they prepare to speak, a
12 reminder that both the FDA and the public believe
13 in a transparent process for information gathering
14 and decision-making. To ensure such transparency
15 at the advisory committee meeting, FDA believes it
16 is important to understand the context of each
17 individual's presentation.

18 For this reason, the FDA encourages all
19 participants, including the sponsor's non-employee
20 presenters, to advise the committee of any
21 financial relationships which they may have with
22 this firm at issue, including consulting fees,

1 travel expenses, honoraria, and interests in the
2 sponsor, including equity interests and those based
3 on the outcome of today's meeting.

4 Likewise, the FDA encourages you at the
5 beginning of your presentation to advise the
6 committee if you do not have any such financial
7 relationship. If you choose not to address this
8 issue of financial relationship at the beginning of
9 your presentation, it will not preclude your
10 speaking.

11 Thank you, and let us proceed with the
12 sponsor's presentations. Dr. Lippman.

13 **Sponsor Presentation - Joel Lippman**

14 DR. LIPPMAN: Thank you, Dr. Johnson

15 Good afternoon. While vasomotor symptoms
16 are not life-threatening, they are life-altering.
17 My name is Joel Lippman, and I'm an OB/GYN who has
18 dedicated my working career to women's health,
19 starting first in clinical practice, and then
20 working in industry to develop women's healthcare
21 products.

22 Since 2008, I have been chief medical

1 officer and head of research and development at
2 Noven Pharmaceuticals, a company whose foundation
3 is in women's health, including hormonal treatments
4 for vasomotor symptoms or VMS. We understand that
5 women and clinicians need an alternative, another
6 option to consider alongside hormone therapy.

7 On behalf of my colleagues at Noven, we are
8 gratified to be here to work with this committee to
9 bring a new treatment option to women with VMS.
10 After this introduction, Dr. David Portman, a
11 practicing OB/GYN and director of the Columbus
12 Center for Women's Health Research, will present
13 the symptom burden of VMS, current treatments, and
14 the need for new treatment options. I will then
15 review the clinically meaningful efficacy of
16 low-dose mesylate salt of paroxetine or LDMP.

17 Dr. Brent Blumenstein is an independent
18 biostatistician who was asked by Noven to review
19 all the statistical work conducted on LDMP data and
20 will discuss the association of the primary
21 efficacy endpoints with multiple clinical outcomes.
22 Dr. Blumenstein has published extensively, served

1 on multiple FDA advisory committee meetings, and is
2 the former deputy director of the Southwest
3 Oncology Group's statistical center.

4 I will then describe the safety and
5 tolerability of LDMP. Dr. Elizabeth Lucini, the
6 head of pharmacovigilance for Noven, will review
7 the risk management plan. Finally, Dr. Portman
8 will return to provide a clinical perspective on
9 the patient-reported benefits of LDMP.

10 LDMP is a selective serotonin reuptake
11 inhibitor, and it's mechanism of action for the
12 treatment of VMS is thought to be related to the
13 potentiation of neurotransmitters in the central
14 nervous system, which impact regulation of body
15 temperature control. The formulation is a 7.5
16 milligram capsule dosed once a day at bedtime
17 without the need for titration.

18 The VMS dose of paroxetine, for which we are
19 seeking approval, is lower than the therapeutic
20 doses of paroxetine that are currently approved for
21 psychiatric indications and are currently being
22 used off label to treat VMS. Now, Dr. Portman will

1 discuss the patient burden of VMS and current
2 treatment options.

3 **Sponsor Presentation - David Portman**

4 DR. PORTMAN: Good afternoon. My name is
5 David Portman. I'm director of the Columbus Center
6 for Women's Health Research and a practicing
7 OB/GYN. I've received research grant support,
8 honoraria, travel, and compensated for my time, but
9 I have no financial interest in the company or the
10 outcome of this meeting.

11 My clinical practice focuses on menopause.
12 I prescribe hormone treatment for VMS, but over the
13 last decade, I've seen growing resistance from my
14 patients to hormone treatment. My patients and I
15 need an other FDA-approved treatment option for
16 VMS.

17 Vasomotor symptoms are frequent and
18 disruptive, occurring at a time in a woman's life
19 and career when her functionality and productivity
20 are critical. By definition, a severe hot flash
21 does not allow a woman to continue with her current
22 activity. Sweating, which occurs with all moderate

1 and severe hot flashes, can be embarrassing at work
2 and socially impact on quality of life. Night
3 sweats and interrupted sleep not only impact the
4 woman and her partner; awakenings are also often
5 associated with decreased productivity during the
6 day and reduced ability to function.

7 Hormone therapy is currently the only
8 approved treatment option for VMS, however, it's
9 not appropriate for all patients, particularly
10 those with risk factors for cancer and
11 cardiovascular conditions. Even after extensive
12 counseling, many if not most of my patients decline
13 to even initiate hormone therapy due to perceived
14 risks and concerns. Some patients try
15 over-the-counter and herbal remedies, which have no
16 proven efficacy and unknown risks. We are left
17 with no other evidence-based approved treatment
18 options. As a result, we resort to off-label
19 neuropsychiatric drugs, including paroxetine, but
20 without a label, prescribing information, or
21 definitive data to inform us.

22 Published results of limited

1 placebo-controlled clinical trials suggest that
2 SSRIs and SNRIs may be efficacious, non-hormonal
3 treatments for VMS. This forest plot suggests that
4 paroxetine may be one of the most effective agents.
5 The studies conducted by Stearns show that there is
6 no dose response with regard to efficacy as
7 evidenced by the lack of difference between the
8 10-milligram and 25-milligram per day doses,
9 however, higher doses were associated with more
10 adverse events and more discontinuations due to
11 adverse events.

12 Of particular concern for mid-life women are
13 weight gain and impaired sexual functioning, common
14 side effects seen with doses of paroxetine approved
15 for psychiatric use. According to the estimates
16 from IMS Health, over 3 million prescriptions were
17 filled for antidepressants to treat VMS in just the
18 last year. Of these, 2.4 million prescriptions
19 were for SSRIs. OB/GYNs account for 13.2 percent
20 of total off-label use; PCPs, 52.6 percent.

21 There's a clear unmet need for additional
22 approved treatment options, over than hormones, for

1 women with moderate to severe VMS. Such treatment
2 options should be evidence-based and specifically
3 labeled for VMS.

4 Now, Dr. Lippman from Noven will present the
5 efficacy data.

6 **Sponsor Presentation - Joel Lippman**

7 DR. LIPPMAN: The efficacy of LDMP,
8 administered once daily at bedtime, was established
9 in postmenopausal women with moderate to severe
10 vasomotor symptoms. In addition, patient
11 perception of clinical benefit was also
12 established.

13 The two pivotal phase 3 studies had a
14 similar study design. Both were randomized,
15 double-blind, and placebo controlled. After a
16 12-day, single-blind, placebo run-in period,
17 subjects were randomized 1 to 1 to placebo or LDMP.
18 Study 3 ended at week 12, where Study 4 extended to
19 week 24. The study population were similar for
20 both studies, except that in Study 4, 10 percent of
21 patients had a prior psychiatric diagnosis.

22 These studies enrolled postmenopausal women

1 greater than 40 years of age who had at least 7
2 moderate to severe hot flashes per day or at least
3 50 flashes per week. Co-primary endpoints for both
4 studies were mean changes in the frequency and
5 severity of moderate to severe VMS from baseline to
6 weeks 4 and 12. As per the statistical analysis
7 plan, since the normality assumption was not met,
8 median daily change in hot flash frequency and
9 severity are reported. In addition to the primary
10 endpoints, PGI-anchored receiver operating
11 characteristic analysis in Study 3 and persistence
12 of benefit at week 24 in Study 4 were prespecified
13 supportive endpoints.

14 These studies also evaluated patient-
15 perceived benefit using prespecified direct and
16 indirect assessments. The assessments included
17 patient global impression of improvement, changes
18 from baseline in nighttime awakenings, climacteric
19 symptoms, and daily interference of hot flashes.
20 In addition to patient perception of improvement,
21 the studies also collected data on clinician
22 perception of improvement using the Clinical Global

1 Impression scale. There was no imbalance between
2 the treatment arms with respect to demographic and
3 baseline characteristics.

4 These studies included postmenopausal women
5 with an average age of 55 years. Approximately 70
6 percent were Caucasian and 30 percent were African
7 American. Twenty percent were surgically
8 menopausal and 80 percent were naturally
9 menopausal. The co-primary endpoint of change in
10 frequency was significantly in favor of LDMP in
11 both studies.

12 In Study 3, women taking LDMP had
13 significantly greater reductions in median daily
14 hot flashes compared to placebo at both week 4 and
15 12. The absolute change from baseline at week 4
16 for LDMP was 4.3 flashes per day and for placebo
17 was 3.1. And at week 12, it was 5.9 for LDMP and
18 5.0 for placebo. The placebo-adjusted benefit in
19 favor of LDMP is 1.2 at week 4 and .9 at week 12.

20 The results in Study 4 were also
21 significant. In Study 4, women taking LDMP had
22 significantly greater reductions in median daily

1 hot flashes compared to placebo at both week 4 and
2 week 12. The absolute change from baseline at
3 week 4 for LDMP was 3.8 flashes per day, and for
4 placebo was 2.5. At week 12, it was 5.6 for LDMP
5 and 3.9 for placebo. The placebo-adjusted benefit
6 in favor of LDMP is 1.3 at week 4 and 1.7 at
7 week 12.

8 At week 24, the results were also
9 significantly in favor of LDMP. The co-primary
10 endpoint of severity was assessed using the
11 prespecified weighted average severity score, which
12 is calculated by adding together the total number
13 of severe and moderate hot flashes weighted with a
14 value of 3 for severe and 2 for moderate and then
15 dividing that by the total number of moderate and
16 severe hot flashes. The result provides the
17 average severity of an individual hot flash without
18 regard for the number of flashes. The score always
19 ranges from 2 to 3, and the score becomes
20 indeterminate if the patient is a complete
21 responder and has zero hot flashes.

22 In Study 3, women taking LDMP has

1 significantly greater reductions in the median
2 daily hot flash weighted average severity score
3 compared to placebo at week 4. At week 12, the
4 difference favored LDMP but did not meet
5 statistical criterion. In Study 4, LDMP showed
6 significant reductions in severity score at both
7 week 4 and week 12. At week 24, results were also
8 statistically significant in favor of LDMP.

9 In order to better understand LDMP's impact
10 on the overall patient burden, we performed an
11 exploratory analysis, looking at the reduction and
12 hot flash composite score, which provides an
13 integrated picture of hot flash frequency and
14 severity. The composite score is representative of
15 actual patient burden. The score is the numerator
16 of the previously discussed weighted average
17 severity score.

18 The LDMP treatment arm had a significantly
19 greater reduction in hot flash composite score from
20 week 1 through week 12. This represents a
21 substantial decrease in the patient burden that is
22 clinically meaningful because there's a decrease in

1 both the frequency and severity of moderate and
2 severe hot flashes.

3 Another exploratory analysis looked at
4 patient burden by examining the treatment effect of
5 LDMP on severe hot flashes only. Severe hot
6 flashes by definition lead to disruption of
7 activity. LDMP resulted in significantly greater
8 reductions in severe hot flashes compared to
9 placebo at week 4 and week 12 in both studies. In
10 addition, the benefits of LDMP persisted out to 24
11 weeks.

12 In Study 4, the persistence of benefit was
13 demonstrated by the 50 percent reduction rate in
14 hot flash frequency compared to baseline. More
15 patients treated with LDMP than placebo met the
16 definition of persistence at week 24 and this met
17 statistical criteria. Persistence of benefit can
18 also be evaluated by looking at responders at
19 week 12 who continue to benefit at week 24.

20 The lower left-hand quadrant reflects the
21 patients for whom their week 12 change in frequency
22 persisted to week 24. The majority of patients who

1 responded at week 12 saw a continued benefit at
2 week 24. These reductions in the frequency of hot
3 flashes were not only persistent but also
4 clinically meaningful to patients. In our clinical
5 trials, we asked patients directly and indirectly
6 about their perception of improvement, and,
7 consistently, patients answered that they
8 benefitted from treatment with LDMP.

9 The patient global impression of improvement
10 is a direct way of assessing treatment benefit. A
11 greater proportion of patients on LDMP described
12 themselves as very much better and much better,
13 seen here on the left, compared to placebo; while
14 fewer patients reported having no change or worse,
15 seen to the far right, compared to placebo.
16 Similar results were observed at week 4. This
17 endpoint was only assessed in Study 3.

18 A responder analysis linking patients
19 perception of improvement to reduction in hot flash
20 frequency was conducted. For this analysis, a
21 patient was considered as satisfied with treatment
22 if they scored as much better or very much better

1 on the PGI. A higher percentage of patients on
2 LDMP at both week 4 and week 12 had a clinically
3 meaningful response. This was statistically
4 significant at week 4.

5 Another direct way of assessing the clinical
6 benefit of hot flash frequency reduction is by
7 looking at the number of nighttime awakenings due
8 to flashes. LDMP achieved significantly greater
9 reductions in nighttime awakenings due to hot
10 flashes at week 4 and 12 in both studies and also
11 at week 24 in Study 4. There are multiple domains
12 of climacteric symptoms, and the green climacteric
13 scale assesses each of these domains and is an
14 indirect measure of clinical benefit.

15 The GCS is a validated and self-administered
16 questionnaire. The GCS showed greater reductions
17 in vasomotor symptoms for patients on LDMP at
18 week 12 in both studies. Results were similar at
19 week 4. The clinical global impression of
20 improvement was assessed in both Studies 3 and 4
21 and favored LDMP in both trials.

22 A higher percentage of patients on LDMP were

1 responders on the clinical global impression of
2 improvement. Responders were defined as patients
3 whose scores range from a little improved to very
4 much improved. These results achieved statistical
5 significance at weeks 4, 12 and 24.

6 Dr. Blumenstein, an independent
7 statistician, will now discuss the exploratory
8 analyses on the prespecified primary and secondary
9 endpoints and the associations between the
10 reduction and hot flash frequency in clinical
11 outcomes.

12 **Sponsor Presentation - Brent Blumenstein**

13 DR. BLUMENSTEIN: Good afternoon. My name
14 is Brent Blumenstein. I'm an independent
15 biostatistician, and I've been compensated for my
16 consulting time but have no financial interest in
17 the company or the outcome of the meeting. I will
18 discuss exploratory analyses used to evaluate
19 multiple outcomes. Specifically, I will show that
20 the frequency primary outcome relates to secondary
21 outcomes in a way illustrating the clinical mean of
22 LDMP across multiple domains.

1 The first method of analysis uses the
2 outcome as a dichotomy; that is, as response versus
3 no response. Thus, all outcomes will be on the
4 same scale. Dichotomization methods used included
5 the pooled baseline median, assessing the sign of
6 changes, and using predefined criteria related to
7 the nature of the outcome. A drawback of
8 dichotomization is loss of statistical sensitivity,
9 but this is an acceptable tradeoff.

10 Now, the measure of effect to be used in
11 each outcome is the odds ratio; that is, the odds
12 of response in the experimental arm divided by the
13 odds of response in the control arm. Each outcome
14 odds ratio is assessed for direction that greater
15 than 1 favors LDMP and also assessed with respect
16 to the information that comes from the width of its
17 95 percent confidence interval.

18 Since the effect size estimates are all on
19 the same scale, a forest graph can be used to
20 display the LDMP effect across broad range of
21 outcomes. In Study 4, the preponderance of odds
22 ratio estimates are to the right of 1; that is,

1 LDMP is favored, and therefore there's a broad
2 clinical benefit, as suggested. The results for
3 Study 3 are similar.

4 I will now focus on the global impression
5 class of outcomes. The patient global impression
6 was administered in Study 3, and the clinical
7 global impression was administered in Studies 3 and
8 4. The clinical and patient global assessments are
9 strongly associated despite them being assessed
10 separately. The association is illustrated in
11 Study 3 in this block graph for week 12. Agreement
12 of patient and clinician impressions of improvement
13 is clearly evident. The taller blocks running from
14 front to back show the frequency of exact agreement
15 between the patient and clinician impressions, and
16 these cases are dominant. The same association is
17 seen for week 4.

18 The global impressions are also strongly
19 associated with the frequency primary outcome. In
20 this dot graph, patients having a larger decrease
21 in frequency also tend to report an impression of
22 greater improvement; that is, a lower patient

1 global impression score. This kind of association
2 was observed for the global clinical impression in
3 both studies at all weeks. The associations
4 illustrated for the global impressions can also be
5 seen across a broad range of other outcomes,
6 including nighttime awakenings, climacteric
7 symptoms, and the daily interference of hot
8 flashes.

9 Now, another method of confirming the
10 general and broad LDMP clinical benefit is to
11 perform a global statistical test. A global
12 multivariate test assesses all outcomes
13 simultaneously instead of one at a time. The
14 O'Brien test procedure ranks each outcome across
15 all patients regardless of arm, then a score is
16 computed for each patient from these ranks as a
17 simple sum of the ranks for that patient; then the
18 arms are compared using a two-group T test on these
19 scores. A small p value for the T test is evidence
20 that the outcomes in one arm are generally shifted
21 away from the outcomes of the other arm.

22 The O'Brien test p values are all small, as

1 can be seen in the table for 12-week results.
2 Similar results are seen at other weeks. The first
3 set of O'Brien tests, those in the first two rows,
4 includes the primary outcome and the important
5 other outcomes as seen previously in the multiple
6 outcome forest graph. The second set of tests do
7 not include the primary outcomes, and the p values
8 are also small. The purpose of this second set of
9 tests was to assess whether the global test was
10 dominated by the primary outcomes.

11 Dr. Lippman will now return to conclude the
12 efficacy presentation and describe the safety of
13 LDMP.

14 **Sponsor Presentation - Joel Lippman**

15 DR. LIPPMAN: The efficacy of LDMP was
16 demonstrated in two adequate and well-controlled
17 studies. LDMP reduced hot flash frequency
18 significantly at weeks 4 and 12 in both studies
19 compared to placebo. Reduction in severity was
20 significant for LDMP compared to placebo at week 4
21 in both studies and at week 12 in Study 4.
22 Although statistical significance was not achieved

1 at week 12 for reduction in the weighted average
2 severity score, when examined in the context of the
3 totality of the data, there's consistent evidence
4 of benefit at all time points.

5 Daily reductions in both frequency and
6 severity were also significantly in favor of LDMP
7 at week 24. A significantly greater proportion of
8 patients on LDMP achieved a 50 percent reduction in
9 hot flash frequency from baseline compared to
10 placebo at week 24, demonstrating persistence of
11 benefit. An association of the direct and indirect
12 outcomes to frequency reduction show a convergence
13 of data that provides compelling evidence of the
14 clinical benefit with LDMP.

15 There were no new or unexpected safety
16 findings observed in the LDMP clinical program out
17 to 24 weeks. The LDMP NDA also relies on FDA's
18 findings of safety for higher doses of paroxetine.
19 Data presented is for the all-controlled studies'
20 pool, which includes the phase 2 study and the two
21 phase 3 studies. Of the nearly 1300 patients
22 enrolled into the clinical studies, about half of

1 LDMP and placebo patients reported an adverse
2 event.

3 The rates of adverse events leading to
4 discontinuation were 4.4 percent and 3.3 percent,
5 respectively. The proportions of patients
6 experiencing at least one serious adverse event
7 were 2.2 percent and 1.4 percent, respectively.
8 There was one death in the LDMP clinical program.
9 The patient died of an acute cardiorespiratory
10 failure, and this event was reported by the
11 investigator as not related to study drug.

12 The most commonly reported adverse events
13 that occurred at a rate of 2 percent or more and at
14 twice the rate of placebo were fatigue, nausea, and
15 dizziness. These common adverse events occurred
16 primarily within the first four weeks of treatment.
17 Adverse events of special interest were based on
18 the paroxetine label and patient or physician
19 tolerability concerns with SSRIs.

20 There was one spontaneously reported event
21 of suicidality in the clinical studies. This event
22 was a suicide attempt in Study 4 in the LDMP arm,

1 in which a patient took an overdose of non-study
2 medications, and this event was determined to be
3 not related to treatment by the investigator. In
4 the LDMP clinical program, suicidality data was
5 prospectively collected using validated scales at
6 scheduled clinic visits.

7 Study 4 and the phase 2 study utilized the
8 self-administered Sheehan Suicidality Tracking
9 Scale or STS. This instrument is known for
10 detecting subtle changes that may be subclinical.
11 Prior to the initiation of Study 3, FDA issued a
12 guidance recommending the Columbia Suicide Severity
13 Scale for the prospective assessment of suicidality
14 in clinical trials. Study 3 used the Columbia
15 scale instead of the STS. This is a
16 rater-administered scale that is less prone to
17 subclinical findings.

18 In Study 4, there was a numerically
19 increased rate of adverse events based on
20 scale-elicited suicidal ideation and behavior on
21 LDMP using the STS. All of these reports were
22 reviewed by the safety monitoring committee. In

1 Study 3, there were no treatment-emergent suicide
2 ideations or behavior found on the Columbia scale.

3 The incidence of abnormal bleeding adverse
4 events was similar across groups. Vaginal or
5 postmenopausal hemorrhage was the most commonly
6 reported event in both groups, with six subjects in
7 each group experiencing this event. There were no
8 clinically important findings with respect to GI or
9 other bleeding events in the LDMP group.

10 There were 5 bone fractures reported in the
11 clinical program, 4 events in 3 subjects in the
12 placebo arm and 1 in the LDMP arm. In the LDMP
13 studies, there were minimal discontinuation
14 symptoms and no increase compared to placebo in the
15 rates of sexual dysfunction or weight gain,
16 concerns that physicians and patients have with
17 higher doses of paroxetine in this population.

18 The DESS was administered within 7 days of
19 the last dose of study drug. Approximately 15
20 percent of patients experienced new symptoms and
21 the incidence of new symptoms did not differ much
22 between patients in the LDMP and placebo treatment

1 arms. These results confirm that there is no need
2 for tapering when discontinuing dosing.

3 The Arizona Sexual Experiences Scale was
4 prospectively administered to evaluate the effect
5 of LDMP on sexual functioning. These results
6 showed that there was no difference between LDMP
7 and placebo in sexual dysfunction. Weight was
8 measured at every clinic visit, and the percent
9 change in weight from baseline was less than
10 1 percent at week 4, week 12, and week 24 and were
11 similar between groups.

12 The safety and tolerability of LDMP is
13 favorable for the treatment of moderate to severe
14 vasomotor symptoms. There were new or unexpected
15 safety findings in the LDMP clinical program. The
16 most common adverse events occurring more
17 frequently in LDMP were nausea, fatigue, and
18 dizziness. These events were generally mild to
19 moderate and occurred primarily within the first
20 four weeks of treatment. The LDMP profile builds
21 on the well-established safety profile of
22 paroxetine, which has been used for over 20 years

1 at higher doses.

2 Dr. Lucini will now review the risk
3 management program for LDMP.

4 **Sponsor Presentation - Elizabeth Lucini**

5 DR. LUCINI: Good afternoon. I'm Elizabeth
6 Lucini, and I'm the senior director of regulatory
7 affairs and pharmacovigilance at Noven
8 Pharmaceuticals. Noven is proposing a risk
9 management process to identify and mitigate risks
10 associated with the use of LDMP for the treatment
11 of VMS. The elements of the risk management
12 process will be discussed and refined with FDA.
13 The LDMP clinical program identified no new or
14 unexpected safety findings in postmenopausal women
15 with VMS.

16 Paroxetine at doses of 10 to 60 milligrams
17 for psychiatric indications has an established
18 safety profile. Noven has developed a risk
19 management plan to ensure the appropriate use of
20 LDMP while focusing on the currently labeled
21 paroxetine events. The elements of the risk
22 management plan include the label, a medication

1 guide, pharmacovigilance with targeted follow-up,
2 postmarketing surveillance, and an education plan.

3 Noven has adopted the class safety labeling
4 for antidepressants, including SSRIs, in safety
5 labeling for paroxetine in the proposed for LDMP.
6 This label will therefore include all warnings and
7 precautions from the paroxetine label. These
8 include, but are not limited to, the boxed warning
9 for suicidality and the warnings regarding abnormal
10 bleeding, bone fractures, and use in pregnancy.
11 The proposed LDMP label also includes the
12 contraindications from the paroxetine label.

13 We understand that the division is
14 discussing concomitant use of tamoxifen with the
15 oncology division. Based upon those discussions,
16 Noven will work with FDA to determine the best way
17 to address the concomitant use of tamoxifen in
18 labeling and in educational activities.

19 Noven has proposed that patients prescribed
20 LDMP receive a medication guide which matches the
21 warnings and precautions in the full label but
22 presents them in patient-friendly language and

1 includes a list of symptoms that should be
2 monitored for. In addition to pharmacovigilance
3 activities, there will be targeted follow-up for
4 adverse events of special interest, which currently
5 include suicidality, abnormal bleeding, and bone
6 fracture, and will be refined on an ongoing basis.

7 The goal of this targeted follow-up is to
8 obtain as much relevant information as possible to
9 enable a meaningful assessment of causality on the
10 individual case level. These events are assessed
11 for a signal by comparing the rate in a given time
12 frame to previous time frames and against the
13 background rate.

14 Additionally, Noven will conduct signal
15 detection using FDA's AERS database and active
16 surveillance using medical claims data. Active
17 surveillance will better enable separation of a
18 potential signal from the background rate, using a
19 large healthcare utilization database containing
20 real-world information. By performing active
21 surveillance, the database will be used to collect
22 case reports at defined periodic intervals in

1 defined groups, focusing on the AEs of special
2 interest. Data on two groups of women with VMS
3 will be collected, women receiving LDMP and women
4 treated with other medications. Active
5 surveillance will enable the identification of a
6 new signal or the validation of a potential signal
7 that was identified via pharmacovigilance.

8 Epidemiological studies and claims databases
9 have shown an increase in bone fractures and
10 depressed patients taking SSRIs. Despite emergent
11 evidence of the importance of serotonin in bone
12 health, the mechanism by which SSRIs increase
13 fracture risk is not clear. Noven is prepared to
14 conduct a study of sufficient power and duration to
15 assess the effect of LDMP on bone mineral density
16 and bone turnover markers with follow-up if needed
17 to see if any negative effects are reversible.

18 The LDMP education and outreach program is
19 focused on reinforcing potential safety risks
20 described in the label and the importance of
21 monitoring patients for them. Specifically, the
22 education plan will target prescribers,

1 pharmacists, and patients, and will be tailored for
2 each audience. The content will highlight the
3 labeled risks, and it will also include information
4 on drugs that should not be used concomitantly with
5 LDMP.

6 The elements of the risk management plan as
7 described will be discussed and agreed with FDA
8 during the NDA review, and on an ongoing basis,
9 Noven will assess the appropriateness of risk
10 management activities in consultation with FDA.

11 Dr. Portman will now put the risks and
12 benefits of LDMP into clinical perspective.

13 **Sponsor Presentation - David Portman**

14 DR. PORTMAN: My patients who come to me for
15 help with their vasomotor symptoms for menopause
16 need more treatment options, particularly
17 non-hormonal treatment options. LDMP should be
18 part of my armamentarium for treating VMS because
19 of its demonstrated efficacy and offers a safety
20 profile differentiated from hormone therapies.

21 In trying to determine the best choice for
22 an individual patient, it will be important to

1 understand the benefits and risks of each treatment
2 option to make an evidence-based treatment decision
3 for each patient. At the same time, we need to
4 understand the patient's own concerns and
5 preferences. In order to help understand the risks
6 and benefits of each treatment choice for a given
7 patient, it's important to put the results of the
8 phase 3 studies into perspective.

9 The relative benefit/risk of LDMP can be put
10 in context with the only approved treatment for
11 VMS, hormone therapy, by using the available data
12 from the label and literature. Both hormone
13 therapy and SSRIs have a very well defined safety
14 profile within their respective classes. SSRIs
15 have a boxed warning for suicidality, which I
16 discuss in detail with my patients. With hormone
17 therapy, I discuss the boxed warnings for the
18 increased risk of stroke and venous
19 thromboembolism, including DVT and PE, as well as
20 breast and endometrial cancer.

21 In order to have a viable non-hormonal
22 treatment option for VMS, it must provide

1 reductions in hot flash frequency that are
2 clinically meaningful. In the phase 3 clinical
3 trials, LDMP showed an approximate 59 percent
4 decrease in frequency of moderate to severe hot
5 flashes over baseline. To put this in context, in
6 a Cochrane review published in 2009, hormone
7 therapy averaged a 75 percent reduction over
8 baseline across all doses, a greater reduction at
9 the higher dose range, and roughly a 65 percent
10 reduction at lower doses.

11 The experience of VMS is multifaceted. It
12 requires a range of endpoints and patient-reported
13 outcomes to adequately gauge clinically meaningful
14 improvement. We now have convincing data that show
15 that LDMP reduces frequency and severity of
16 moderate to severe vasomotor symptoms. From my
17 clinical perspective, great insight from the LDMP
18 program relevant to assessing clinical benefit can
19 be found in these data which show the beneficial
20 effect of LDMP on multiple outcomes. The results
21 of these outcomes favor LDMP with the majority of
22 point estimates to the right of unity.

1 Patients presenting to me with VMS at the
2 time of menopause also describe a range of
3 climacteric symptoms. The green climacteric scale
4 assesses these symptoms, and in the LDMP clinical
5 trials, scores from this scale were associated with
6 the co-primary endpoint of frequency reduction.

7 The GCS is a validated, self-administered
8 questionnaire, evaluating common menopausal symptom
9 domains and their severity and impact on the
10 patient. LDMP was favored over placebo in the
11 psychological and vasomotor domains. Importantly,
12 there was no negative impact on libido, a common
13 complaint among patients on higher doses of SSRIs.

14 As a clinician, these improvements in
15 patient-reported outcomes and directional benefit
16 on multiple menopausal domains is of extreme
17 clinical importance to me since patients with VMS
18 often have multiple concerns and complaints
19 accompanying their presentation. In the hot flash
20 related daily interference scale, the HFRDIS and
21 the profile of mood states, POMS, which are both
22 associated with the co-primary endpoint of

1 frequency reduction, also demonstrated a beneficial
2 impact of LDMP on multiple menopausal symptom
3 clusters.

4 In the HFRDIS at week 12, patients had less
5 interference on LDMP in the social, leisure and
6 enjoyment domains and in sleep and quality of life.
7 Beneficial improvement with LDMP is further
8 confirmed by the benefits seen on the POMS and
9 patient domains, such as increases in vigor and
10 activity and decreases in inertia. Along with the
11 GCS, these patient-reported outcomes indicate that
12 patients treated with LDMP had significant and
13 clinically meaningful benefit in many aspects of
14 their lives.

15 I've used paroxetine and other SSRIs off
16 label at higher doses, so I was curious to see if
17 this very low dose of paroxetine would benefit my
18 patients with the most bothersome symptoms and
19 requested a subanalysis be done of the patients
20 that entered the study with the greatest burden of
21 symptoms at baseline. I asked the sponsor to
22 analyze the data from patients who had a baseline

1 GCS score greater than 12, a GCS vasomotor score of
2 greater than 2, and patients who had baseline
3 frequency of more than 10 hot flashes per day. The
4 cutoff for GCS used in this exploratory analysis
5 have been published in a recent article with
6 desvenlafaxine and were defined as a way of
7 identifying baseline bothersomeness VMS criteria.

8 There is consistent benefit across patient
9 subgroups with all the point estimates favoring
10 LDMP in this severely symptomatic patient
11 population. I was pleased to see that the patients
12 with the greatest burden had some of the greatest
13 magnitude of benefit over placebo. As a clinician,
14 I asked my patients how they're responding to
15 treatment, about their impression of improvements,
16 and actually assessed this in the study with the
17 CGI as an investigator during the LDMP trials.
18 Clinicians saw a consistent treatment effect of
19 LDMP apparent at week 4 through week 12 in both
20 trials, and through week 24 in Study 4. These
21 differences were statistically significant at all
22 time points in both studies.

1 The CGI reflects the exact sort of
2 conversation that we have with our patients during
3 office visits, especially outside of the clinic
4 trial setting, deflecting a meaningful treatment
5 benefit. The clinician's impression was
6 corroborated by the patients' own impression of
7 improvement, as seen with the PGI, and these
8 results along with the improvement in multiple
9 patient-reported outcomes illustrate the positive
10 impact of LDMP treatment on patients' lives.

11 There's a clear need for FDA-approved
12 non-hormonal treatment options for VMS. Low-dose
13 mesylate salt of paroxetine at 7.5 milligrams taken
14 once daily at bedtime has demonstrated significant
15 reductions in the frequency and severity of hot
16 flashes. These reductions translate into
17 clinically meaningful improvements as perceived by
18 patients and clinicians, and these improvements
19 persisted up to 24 weeks with no diminished
20 efficacy during the course of treatment.

21 Across multiple measures, LDMP demonstrated
22 meaningful and consistent benefit over placebo.

1 LDMP was well tolerated with a low rate of adverse
2 events and, importantly, the common side effects of
3 weight gain and sexual dysfunction, known to be
4 associated with higher doses of SSRIs, were not
5 increased over placebo. Additionally, there's no
6 need for titration or tapering with LDMP, which is
7 not surprising, considering that the dose of LDMP
8 is below the lowest approved dose of paroxetine,
9 and yet can treat some of the most severely
10 affected patients.

11 Significant numbers of my colleagues are
12 prescribing higher doses of paroxetine and other
13 SSRIs off label to treat VMS with no guidance, no
14 active surveillance, and no label for VMS. What's
15 most important to me is that the patients reported
16 through the PGI that they've benefitted from
17 treatment. Less important but still compelling,
18 the clinicians in the study also reported patient
19 improvement with treatment using the CGI. These
20 results corroborated the patients' perception of
21 improvement.

22 So given the consistent clinically

1 meaningful benefit and its safety and tolerability,
2 LDMP has an overall favorable benefit/risk profile.
3 It would be a welcomed addition to consider
4 alongside hormonal therapies for VMS. These
5 treatment options belong side by side. Let doctors
6 and patients choose which is right for them. Thank
7 you.

8 DR. LIPPMAN: In addition to the speakers
9 you've already heard, we have with us several
10 independent experts who are available to address
11 questions. They are Dr. Gerard Sanacora, director
12 of the Yale Depression Research Program; Dr.
13 Annette Stemhagen, vice president of safety,
14 epidemiology, registries and risk management at
15 United BioSource; and Dr. Nelson Watts, director of
16 Mercy Health's osteoporosis and bone health
17 services in Cincinnati, Ohio.

18 **Clarifying Questions to Sponsor from Committee**

19 DR. JOHNSON: Thank you very much. I
20 appreciate all the information provided. Now, we
21 can proceed to clarifying questions from the
22 committee. Shall we begin with Dr. Bockman?

1 DR. BOCKMAN: I have two questions. One is,
2 you talked about -- looking at slide 67, it looks
3 like there's a discordance -- unless I read this
4 incorrectly -- between the severely affected, the
5 GS score greater than 12 versus greater than 2,
6 between Study 3 and Study 4. There does seem to be
7 a better mean for Study 3, but not for Study 4.

8 Does that make sense to you?

9 DR. LIPPMAN: Yes. And I'd like to ask
10 Dr. Blumenstein to comment on that.

11 DR. BLUMENSTEIN: Slide up, please. Well,
12 this is showing lots of tests, and these tests
13 weren't necessarily predefined. This is an
14 exploratory analysis, so there's going to be some
15 degree of wobble in the outcomes. And one
16 shouldn't take the crossing of the null line -- in
17 this case zero. One shouldn't take that to mean
18 that there's lack of statistical significance in
19 the sense that the primary analyses were analyzed.
20 So, yes, there's what we interpret this as just
21 wobble in the outcome across all these outcomes.

22 DR. BOCKMAN: Just a quick follow on that.

1 I mean, the conclusion that it was equally
2 effective in severely affected versus less affected
3 individuals was not different? The effect of the
4 drug in hot flash reduction? I mean, in Study 3,
5 it definitely looks like it's lower, but -- that's
6 what I was wondering. But there's a difference
7 between the two studies. And you say there's
8 enough wobble in the data that you can't really
9 make that distinction.

10 DR. BLUMENSTEIN: Well, I would say -- to
11 answer your question directly, I would probably
12 want to then model the pooled data for the studies
13 in a model that included an interaction term for
14 benefit by arm with study involved in that
15 interaction.

16 DR. BOCKMAN: Okay.

17 DR. BLUMENSTEIN: And, quite frankly, I just
18 haven't done that.

19 DR. BOCKMAN: All right. So this graph is
20 not really addressing the issue that Dr. Portman
21 raised.

22 Can I switch -- I would like to ask -- since

1 you do have an expert here, I wonder if Dr. Watts
2 would like to comment on the fact that all the
3 fractures that occurred, occurred in the treatment
4 arm. There were three fractures.

5 So I guess my question is twofold. One is,
6 is there some proven relationship between SSRIs and
7 fracture? And two, is that just a lucky finding?

8 DR. LIPPMAN: Yes. Just to clarify, the
9 three fractures were in the placebo arm.

10 DR. BOCKMAN: That's a better outcome.

11 DR. WATTS: So if we could have a slide up
12 on the fractures to show that they were all in the
13 placebo arm. And slide off, please.

14 My name is Nelson Watts. I'm an
15 endocrinologist from Cincinnati, Ohio, with a long
16 interest in osteoporosis and bone health. I've
17 been paid for my consulting time, and my expenses
18 have been covered, but I have no financial interest
19 in the company, nor in the outcome of this trial.

20 I think it's instructive to understand that
21 this lower dose of paroxetine mesylate, a selective
22 serotonin receptor inhibitor, is taking on the

1 label of all SSRIs. And to provide that language,
2 epidemiologic studies on bone fracture risk
3 following exposure to some antidepressants,
4 including SSRIs, have reported an association
5 between antidepressant treatment and fractures.
6 There are multiple possible causes for this
7 observation, and it is unknown to what extent
8 fracture risk is directly attributable to SSRI
9 treatment.

10 Now, I did several systematic literature
11 searches. I found nothing on paroxetine and
12 fractures, nothing on paroxetine bone density or
13 bone turnover markers. There is literature dating
14 back over 30 years, showing that people with
15 depression are at increased risk of fracture; that
16 antidepressant drugs increase fracture risk
17 further, and that includes SSRIs, and possible
18 mechanisms might include hyponatremia or increased
19 risk of falling.

20 There's been considerable interest in
21 serotonin as a mediator of bone health. Gut
22 serotonin has a negative effect on bone formation.

1 CNS serotonin through neuronal influences has a
2 positive effect on bone formation and may reduce
3 bone turnover. Having said that, there is no data
4 that I could find on SSRIs in depressed patients
5 that provides a convincing story. There are some
6 cross-sectional and observational studies
7 suggesting that depressed patients on SSRIs had
8 lower bone density and perhaps faster rates of bone
9 loss, but no studies of lower-dose SSRIs in
10 patients without depression.

11 As stated, the sponsor is prepared to
12 conduct a study that would assess whether or not
13 this lower dose of SSRI in non-depressed patients
14 has an effect on bone turnover markers or on bone
15 density.

16 Slide up, please. So the plan would be to
17 do a prospective double-blind, randomized trial
18 over two years, looking at bone turnover markers
19 and bone mineral density with follow-up if needed,
20 so that if negative effects are observed, that it
21 would be possible to determine whether or not those
22 are reversible when treatment is stopped.

1 DR. JOHNSON: Thank you. Dr. Montgomery
2 Rice?

3 DR. MONTGOMERY RICE: Dr. Bockman led Dr.
4 Watts to my answer for my question, so he answered
5 it all. That was it.

6 DR. JOHNSON: Thank you. Dr. Dobbs?

7 DR. DOBBS: My question refers to slide 50
8 on sexual function. So to say that 45 percent had
9 no sexual dysfunction means that 55 percent had
10 sexual dysfunction, which I would think is a little
11 bit high for this age group. And I wonder how much
12 depression is mixed in with your population and
13 whether or not your drug is working on depression
14 at all.

15 Also, my understanding is that the drug
16 affects both decreased libido and orgasmic
17 function, and I wondered if you could comment a
18 little bit more on that.

19 DR. LIPPMAN: I'm going to ask Dr. Bhaskar,
20 our executive director of clinical research, to
21 comment on the scale.

22 DR. BHASKAR: Good afternoon. Sailaja

1 Bhaskar, clinical research, Noven Pharmaceuticals.
2 So the Arizona Sexual Experiences Scale was
3 administered in the study at week 4, 12 and 24, in
4 both studies. And what we -- so the questionnaire
5 collects about everything, including sexual
6 experience, libido, and orgasmic experience. And
7 what we found out was that the results are no
8 different from placebo.

9 DR. DOBBS: That there was no difference?
10 Can you repeat that again?

11 DR. BHASKAR: There was no difference
12 compared to placebo. And the events that were
13 noted were at a much lower rate than what is
14 reported in the literature for higher doses of
15 paroxetine.

16 DR. DOBBS: How much of the population was
17 depressed at baseline?

18 DR. BHASKAR: In Study 4, there was 10
19 percent of subjects who were included who had
20 baseline psychiatric conditions, and in Study 3,
21 subjects with depression were excluded.

22 DR. DOBBS: They were not on medications,

1 but were they depressed by any other scoring? Was
2 it measured?

3 DR. BHASKAR: We did measure the HADS at
4 baseline to determine whether subjects were
5 depressed at baseline, and we didn't see an
6 increase in the HADS scores, which is the Hamilton
7 Anxiety and Depression Scale.

8 DR. LIPPMAN: Could I ask Dr. Portman to
9 come up and provide some clinical input to that
10 response?

11 DR. PORTMAN: So the Arizona scale, the ASEX
12 scale, was used to monitor, during the course of
13 the study, any changes in sexual function because
14 of the concern with higher doses of SSRI. The
15 incidence, when you look at it, over 50 percent,
16 people meeting the cutoff for sexual dysfunction,
17 while it may seem high, Lohman in a seminal paper
18 in 1999 from JAMA found 43 percent of women
19 reporting some form of sexual dysfunction. So this
20 50 percent figure really is not beyond the realm of
21 what we've seen reported in the literature.

22 DR. JOHNSON: Let me ask a clarifying

1 question. This is a modest decrease in dose from
2 the lowest dose used for depression, but SSRIs are
3 classically seen to affect libido in increasing
4 anorgasmia. Can you explain why you think there
5 was a different finding with this medication in
6 your studies?

7 DR. LIPPMAN: Well, in terms -- paroxetine
8 has non-linear pharmacokinetics, so the exposure of
9 the patient -- well though, the dose is 25 percent
10 less. In fact, the actual patient exposure due to
11 the non-linear pharmacokinetics may be less than
12 that, and that might explain some of what you're
13 asking about.

14 DR. JOHNSON: Dr. Orza?

15 DR. ORZA: I have two clarifying questions
16 from the background materials and one from the
17 slides. You said that you had a plan to minimize
18 the placebo responders, and that didn't seem to
19 have worked. I was wondering if you could comment
20 on -- you still had, despite that run-in period, a
21 very high placebo effect.

22 DR. LIPPMAN: I'm going to ask Dr. Bhaskar

1 to come back and talk about our 12-day placebo
2 run-in period, and what the intention was, and what
3 were the results of that.

4 DR. BHASKAR: So placebo response has been
5 reported in the literature with all VMS trials.
6 With estrogen trials, with hormone-replacement
7 studies, the placebo response was as high as
8 58 percent, but those studies did not have a
9 run-in. Subsequently, Stearns, et al. have
10 published papers with placebo run-in at the
11 beginning of the studies, and they have reported a
12 placebo response while including the run-in period
13 as high as 43 percent. In our studies, we saw
14 46 percent, which corroborates what Stearns
15 reported in her trials with the run-in period.

16 DR. ORZA: Then the second question was
17 about the serious adverse events. There seemed to
18 be disproportionately among the non-Caucasians. Is
19 that true? I couldn't do the math, but --

20 DR. JOHNSON: I'm going to ask Dr. Lucini to
21 come up and address that, please.

22 DR. LUCINI: When we look at serious adverse

1 events, it is a small number we're looking at. So
2 perhaps another way to look at it is to look at all
3 treatment-emergent adverse events by race, where
4 there was a higher rate among African Americans
5 subjects -- I'm sorry -- among white or Caucasian
6 subjects.

7 Slide up, please. The rate of all
8 treatment-emergent adverse events was higher in the
9 LDMP arm with the white or Caucasian subjects, but
10 the rates of cardiovascular and hepatic AEs were
11 higher in the African American arm.

12 DR. ORZA: And then the last question -- I
13 just want to be sure I'm reading the scale
14 correctly on the severity score slides 21 and 22.
15 Is that .01 and .02? And the scale is still 1 to
16 3?

17 DR. LIPPMAN: Which slide? Okay. Could you
18 repeat the question, please?

19 DR. ORZA: Slide 21 and 22, the scale there
20 is --

21 DR. LIPPMAN: Yes. Your correct. The
22 severity score will always be between 2 and 3, and

1 the scale is correct. There were relatively small
2 changes. If I could address that further, the hot
3 flash weighted average severity score is really
4 meant to determine the severity of a single hot
5 flash. So to derive it, it's a weighted average of
6 moderate and severe. You multiply moderate times 2
7 and severe by 3, and then you divide the numerator
8 by the total number of moderate and severe hot
9 flashes. And what you get out of that is the
10 average severity of a single hot flash. It's
11 always going to range between 2 and 3.

12 Next slide. Slide up, please. So that's
13 the weighted average severity score. To assess
14 patient burden, which really is a function of the
15 amount of burden these symptoms are causing on the
16 patient, we actually did an exploratory analysis
17 looking at the composite score. And the composite
18 score actually is the same numerator as the hot
19 flash severity score, but it does not include the
20 denominator. So it weighs a moderate flash as
21 having a score of 2 and a severe hot flash of
22 having a score of 3.

1 Slide up, please. So just to illustrate the
2 findings you might get when using these two scales,
3 let's say there's a patient who had 50 severe and
4 50 moderate hot flashes, so her average weighted
5 severity score of a single hot flash will be 2.5
6 Now, if that patient is on treatment and has a
7 response, and goes down to 1 severe hot flash, her
8 actual severity score is 3.0, which went up. And
9 if the patient's a complete responder, her score is
10 indeterminate because the denominator can't be
11 zero.

12 If you use the composite score, the same
13 patient with 50 severe and 50 moderate hot flashes
14 would have a composite score of 250. If that
15 patient responded the same way and had one
16 remaining severe hot flash, the score would be down
17 to 3, and if the patient had zero hot flashes, the
18 score would be to zero.

19 So each of these techniques -- and I want to
20 show -- slide up, please. This just shows the
21 information with the two scores on the same slide.
22 And using the composite score, we achieved

1 statistical significance in Study 3 at both time
2 points. Both scales, both scores, have their
3 usage. The weighted average score helps understand
4 the effect on a single hot flash, but the composite
5 score really assesses the hot flash burden to the
6 patient. And one other way to assess this is
7 another exploratory analysis we did on actually a
8 prespecified endpoint, which is looking just at
9 severe hot flashes.

10 Slide up, please. So in Study 3 and Study
11 4, we looked at the drug effect on just severe hot
12 flashes and found that in both Study 3 and Study 4,
13 at all time points -- 4, 12 and 24 -- we had a
14 significant effect versus placebo on severe hot
15 flashes by themselves.

16 DR. ORZA: So I'm sorry. In slide 21 and
17 slide 22, is that the composite or the --

18 DR. LIPPMAN: This is the median daily
19 weighted scale. So this is the prespecified one
20 that did not achieve significance at week 12.

21 DR. JOHNSON: Dr. Schwarz.

22 DR. SCHWARZ: I was interested in comments

1 about the non-linear pharmacokinetics, and I was
2 hoping you could provide a little bit more
3 background on how you got to the 7.5 dose as
4 opposed to 10 or 5 rate.

5 DR. LIPPMAN: We got to 7.5 dose by
6 examining the literature, and it was mostly
7 literature by Stearns, et al. And she had done
8 some studies, and it did not appear, on higher
9 dosages looking at vasomotor symptoms -- and it did
10 not appear to be a dose effect. But it did appear
11 in her studies that there was an effect on
12 tolerability, with lower doses having better
13 tolerability.

14 So we wanted a dose that was below the
15 dosages that were indicated for psychiatric
16 illnesses to lessen potential confusion. And we
17 even had some patients who were telling us that
18 they felt bad about being on a drug that most
19 people thought was for psychiatric illness. So
20 that's when we came up with the 7.5 milligram dose.
21 And we knew that the kinetics of paroxetine was
22 non-linear; so even though it was only perhaps

1 25 percent dose less, the kinetics may have
2 contributed to the actual exposure being even less
3 than that.

4 We went into a phase 2 proof of concept
5 study with that dose. And in phase 2, we found the
6 efficacy with higher dosage and tolerability that
7 didn't look different from placebo. So to us, that
8 meant that that was a very good dose to take into
9 phase 3, and that's why we did that.

10 DR. JOHNSON: Dr. Chai.

11 DR. CHAI: I have two questions. I'm not
12 clear. Did you make subjects go off any
13 psychiatric meds for Study 4? Because you had
14 about 10 percent subjects. And then the second
15 question is maybe for Dr. Sanacora about any
16 concerns about patients who are on paroxetine that
17 have to be prescribed a second SSRI for new onset
18 depression, or other antidepression drugs, or other
19 psychiatric issues that may come up; so multiple
20 use of the same category of drugs.

21 DR. LIPPMAN: We did ask subjects to go off
22 any psychiatric medication.

1 Dr. Sanacora?

2 DR. SANACORA: Yes. I am Dr. Gerard
3 Sanacora, professor of psychiatry, Yale University,
4 and director of the Yale depression research
5 program. I have been compensated for my time as a
6 consultant to Noven Pharmaceuticals and my expenses
7 have been paid, but I have no direct interest in
8 the outcome of this meeting or in Noven
9 Pharmaceuticals.

10 I think the question specifically is are
11 there any concerns that this drug may be used
12 alongside another psychiatric drug. And, in fact,
13 there's indications/warnings in PDR, in the package
14 insert, that these drugs have very specific
15 indications and contraindications for use with
16 other drugs, such as MAOIs and others. And it
17 should not be -- and it should be made very clear
18 through patient education that this is an SSRI, and
19 it is not indicated for the treatment of
20 depression. So the concern would be no greater
21 than any other drug, such as Zyban or Wellbutrin.
22 Where you could be using the same drug for two

1 different indications, it should be made clear to
2 the treaters.

3 DR. CHAI: Can I follow up? I'm concerned
4 about using the same category, the same
5 classification, for two different conditions, the
6 additive effect of -- side effects, for example.
7 So a patient could get an SSRI for depression, and
8 then this mechanism, SSRI, for VMS. Is there a
9 concern about the additive effects of same
10 classification of drugs? Because, again, we don't
11 know how long these patients are going to be
12 treated for. And your experience, primarily, I'm
13 thinking from a psychiatrist standpoint of treating
14 patients with multiple agents in the same category.

15 DR. SANACORA: So these medications are used
16 at very broad dose ranges, so from 20 to
17 60 milligrams, typically for paroxetine. So there
18 is a broad range of doses that are used, and these
19 medications are frequently used in combination.
20 However, as I mentioned before, there are specific
21 contraindications that should be made clear.

22 DR. LIPPMAN: I'd also like to ask Dr.

1 Portman to come up and comment from a
2 gynecologist's point of view.

3 DR. PORTMAN: Should this become available
4 to my patients for the treatment of vasomotor
5 symptoms and it achieved its goal of reducing the
6 symptomatology, and the patient returned and had
7 new onset symptoms of depression or anxiety, then
8 certainly that issue would be addressed. And
9 should she need to go on a different medication for
10 that specific disease state, LDMP most likely would
11 be discontinued, and she would be treated
12 accordingly for her depression.

13 Certainly, there are people who are treated
14 with bupropion and this class of drugs together.
15 That certainly is something that may be considered
16 in this treatment population, but most likely if
17 she was going to be going on a new psychotropic
18 drug, would discontinue this and address her mental
19 health issues. I don't see clinicians adding one
20 drug on top of the other when that issue presents
21 itself.

22 DR. JOHNSON: Clarification, Dr. Dobbs?

1 DR. DOBBS: Yes. So would you up the dose
2 for a depressed a patient who you start at one dose
3 and comes back complaining of depression?

4 DR. PORTMAN: Once the patient shows
5 evidence of major depressive disorder, I would
6 certainly most likely consult my psychiatry
7 colleagues to let them determine what the best
8 treatment course would be for that patient. I
9 don't have any data on any other dose for this
10 particular therapy. The low dose was specifically
11 designed to minimize side effects. I'm not sure I
12 want to increase it for that purpose and then
13 increase side effects.

14 So if her depression became her primary
15 problem, I would get assistance in that regard. I
16 wouldn't double the dose. I would assume that the
17 psychiatrist would find the right treatment that
18 was right for her. If her vasomotor symptoms
19 recurred, then that would be addressed in a
20 different way.

21 DR. DOBBS: The company said that they based
22 it on Dr. Stearns data. But when you did a dose

1 finding, and you went up to higher doses, did VMS
2 symptoms resolve? You never really did that.

3 DR. LIPPMAN: We didn't do dose finding.
4 The only dose we've studied is 7.5 milligrams.

5 DR. JOHNSON: Dr. Kittelson.

6 DR. KITTELSON: Yes, a point of
7 clarification just on your slide CT-35. And in our
8 background document, or briefing document, there's
9 a figure 313. Are these the same? They don't seem
10 to be -- well, they're not exactly the same. I
11 guess I want to understand how they differ. So
12 then I can go on to figure 312 from the briefing
13 document, which gives a different impression. It's
14 from Study 3.

15 DR. LIPPMAN: I'm going to ask Dr.
16 Blumenstein to come up and discuss this.

17 DR. BLUMENSTEIN: I have the advantage of
18 being able to see both simultaneously.

19 DR. KITTELSON: Oh, okay. Well, I may have
20 them simultaneously.

21 DR. BLUMENSTEIN: I suppose you do, too,
22 because you could have it opened. There's a

1 different set of outcomes that are displayed in
2 there.

3 DR. KITTELSON: Yes. So in figure 313, at
4 least for the first, the frequency response looks
5 like it might be the same as the first-line
6 frequency reduction. But the numbers, 101 and 183;
7 in there, it's 223 and 61 -- I guess -- are
8 they -- how are these different?

9 DR. BLUMENSTEIN: I will look in to this and
10 get back to you with an answer.

11 DR. KITTELSON: Okay. But I guess my -- it
12 seems like there are more outcomes here, and you
13 get a different visual impression. But figure 312
14 in the briefing document is from Study 3. So do
15 you have an analogous slide for Study 3? But it
16 gave quite a bit different visual impression, which
17 was there were a lot of things on either the wrong
18 side of that line or certainly with confidence
19 intervals that included 1 quite dramatically.

20 So that's my question.

21 DR. BLUMENSTEIN: Could I have the slide for
22 Study 3? It should be around CT-35. Yes. You

1 were comparing --

2 Slide up, please. We don't have it yet.
3 Study 3 does not have as dramatic -- slide up,
4 please.

5 So this is the slide that matches the figure
6 that you mentioned.

7 DR. KITTELSON: So that matches more my -- I
8 was concerned about this picture in particular in
9 the briefing document. I guess I just wanted to
10 check that. I wasn't misunderstanding the two
11 slides, because the Study 4 ones didn't seem to
12 agree between the presentation and the document.

13 DR. BLUMENSTEIN: I will look into that, and
14 we'll get back to you with an answer.

15 DR. KITTELSON: Thank you.

16 DR. JOHNSON: Dr. Clarke?

17 DR. CLARKE: For Dr. Watts, just a short
18 question to clarify. In slide CS-48, it talks
19 about a hand fracture in the treatment group, and
20 then there's a foot fracture quoted in the placebo
21 group. Are these truly carpal or metacarpal
22 fractures, or are they finger or toe fractures, or

1 do we know?

2 DR. WATTS: I can't answer that.

3 DR. LIPPMAN: Dr. Lucini, do you have
4 additional information on that, or do we need -- we
5 can get that information.

6 DR. WATTS: That's all?

7 DR. JOHNSON: Dr. Bockman?

8 DR. BOCKMAN: Yes. I wondered about that,
9 too. It looks like the severity of fractures in
10 the placebo group was greater, so they were more
11 active or more trauma associated with them.

12 But I'm going back to CE-18. And maybe this
13 went by, but I still don't get it. And it has to
14 do with the fact that just going on the study is a
15 good thing in terms of hot flashes. Is there some
16 explanation for that? What I'm talking about is
17 the fact that the placebo group goes down quite
18 dramatically, as does the treatment group, and the
19 treatment group does a little better.

20 DR. LIPPMAN: There's a significant placebo
21 effect.

22 Could I have the Cochrane slide back up,

1 please? And this placebo effect has been seen in
2 these types of studies before. The studies were
3 blinded. And I think perhaps -- slide up, please.
4 This slide, again, establishes the placebo effect
5 that was in our clinical studies, as well as what's
6 been seen for placebo, and there is a significant
7 placebo effect.

8 So I think you go on our drug, and you do
9 get a reduction of hot flash beyond that of
10 placebo, but it's meaningful. And what I'd like to
11 do now is provide some additional information that
12 needs to be considered in addition to the reduction
13 and the frequency, but really how that correlates
14 to other endpoints.

15 So could I please have the slide on -- slide
16 up, please. So in terms of clinical
17 meaningfulness, there is much data from our study
18 which goes to the issue of clinical meaningfulness.
19 And one is, when you start a patient on a drug,
20 it's nice that you have therapy at a certain period
21 of time, 4 weeks or 12 weeks, but what about
22 maintaining that effect?

1 So, as you can see in this slide, in the
2 left lower-hand quadrant, patients who respond to
3 our product tend to continue to respond at week 12.
4 Next slide up, please. And if you respond at week
5 12, you tend to respond at week 24. In addition to
6 maintenance of effect, one of the real key
7 important issues for women who have moderate and
8 especially severe hot flashes is nighttime
9 awakenings.

10 Slide up, please. So when you look at our
11 studies -- we looked at nighttime awakenings, both
12 Study 3 and Study 4 at all time points. And as you
13 can see from this slide, LDMP is significantly
14 better than placebo in terms of nighttime
15 awakenings at all time points studied. And perhaps
16 most importantly, we asked the patients, what do
17 you think about your response through the Patient
18 Global Impression Scale.

19 Slide up, please. And this was only done in
20 Study 3. And as you can see here, at every time
21 point, there are more patients on LDMP versus
22 placebo in the category of very much better, and

1 there are less LDMP patients versus placebo in the
2 category of no change or worse. And there are
3 women who will tell you that I had one hot flash
4 today and it was at the wrong time, and that really
5 impacted me. But importantly in our study, we
6 correlated that to other endpoints, including
7 maintaining the effect, including nighttime
8 awakenings, and including the patient global
9 impression.

10 I'd like to have Dr. Portman come up and
11 talk about the clinical global impression and some
12 other clinical endpoints that made this data
13 meaningful to his patients.

14 DR. PORTMAN: The placebo response in these
15 trials is remarkable. I've conducted probably
16 several dozen vasomotor symptom trials, and these
17 are very consistent across the board. We can't
18 give patients placebo. One, it's deceptive in this
19 context. If we gave widespread placebos, I think
20 it begins to be an ethical challenge. And we also
21 can't give patients in a natural clinic setting, in
22 a practice setting, the care that they get in a

1 clinical trial.

2 We can give them active treatment that will
3 have a meaningful effect outside of that clinical
4 trial setting because I do it all the time. I
5 prescribe hormones. I prescribe these medications
6 off label. The patient doesn't come back every
7 four weeks, doesn't fill out a diary, doesn't talk
8 to myself or my coordinator. And she comes back,
9 and she has a meaningful response when I see her in
10 12 weeks or 6 months.

11 The patients in the clinical trial on
12 placebo have the response that they do because of
13 all the clinical care that they get. They get
14 reassurance. They get constant coddling. And that
15 I just don't think simply gets done in a natural
16 practice setting. So even while we do this in
17 clinical trials to make sure we have a treatment
18 effect, I think that the meaningful treatment
19 effect that we have with medications in our
20 practices is quite different than the differential
21 we see in clinical trials.

22 If we can put the slide up on my impression

1 and other clinicians' impressions. Slide up.

2 So part of what I do as a practitioner is I
3 have a face-to-face interview with my patient. I
4 ask are you achieving some relief. Has the
5 severity of your problem improved? In fact, the
6 clinical global impression is based on the
7 impression of the severity of the patient's
8 vasomotor symptoms; were they very much improved,
9 much improved, or somewhat improved. And across
10 all time points, there was a significant impression
11 on the part of the clinician that the patient was
12 improving on active treatment more than on placebo.
13 Perhaps far greater than the absolute difference
14 between hot flash differences.

15 Slide up. And this correlates very well
16 with the patient's own personal, self-reported
17 impression. So it's not just the investigator
18 projecting onto the woman what he or she thinks she
19 should be doing. But as you see in the box plot,
20 patients who filled out their own personal
21 impression, it correlates very well with what the
22 clinician observed on their own as well. So that's

1 one sense of why I think that absolute differences
2 in hot flashes may not be as critically important,
3 but rather how the overall patient is doing perhaps
4 much more so.

5 Another important thing that we look
6 at -- slide up -- is not just a point in time
7 measuring a differential in hot flashes say at week
8 4 and week 12, but it's vital that the patient has
9 ongoing relief. So this exploratory analysis, this
10 Kaplan-Meier curve, looked at patients who had
11 three consecutive weeks of 50 percent reduction in
12 hot flashes, and the distinction between placebo
13 and active treatment is quite apparent very early
14 on. And patients who had this three-week durable
15 response on active treatment, 50 percent of the
16 patients achieve that by two months and didn't
17 reach that 50 percent of patients achieving that
18 threshold in placebo at all during the trial.

19 So there really, I believe, is a
20 differential treatment effect between the active
21 treatment arm and placebo, which may be explained
22 in the context of all this data a little bit better

1 than just simply looking at purely a placebo
2 effect.

3 DR. JOHNSON: Thank you.

4 DR. BOCKMAN: Can I just do a quick
5 follow-up? It's real short.

6 DR. JOHNSON: Okay.

7 DR. BOCKMAN: To Dr. Lippman's comment,
8 there clearly is persistence of the placebo effect.
9 And I guess my question is, can you actually do a
10 crossover study when the placebo effect is so
11 large?

12 DR. LIPPMAN: That would probably be
13 somewhat challenging.

14 DR. JOHNSON: Did you have a clarification?

15 DR. KITTELSON: On the survival study, is it
16 possible to relapse on that? This is the first
17 time we've seen it, and I hadn't had a chance
18 to -- that Kaplan-Meier curve that was just up, you
19 can relapse. It was three weeks durable, but then
20 you could go back again, right? So it's not a
21 steady state. It's not like alive or dead.

22 DR. PORTMAN: Right.

1 DR. KITTELSON: Okay. Thank you. That's
2 all I wanted to know.

3 DR. JOHNSON: Thank you very much. We
4 appreciate your input, and we request that you stay
5 available for questions in the future.

6 For the committee members who did not have a
7 chance to ask their questions, there will be time
8 after the FDA or later in the afternoon.

9 So now let us proceed to our presentations
10 from the FDA.

11 **FDA Presentation - Ronald Orleans**

12 DR. ORLEANS: Good afternoon. My name is
13 Ronald Orleans, and I'm the clinical reviewer for
14 NDA 204516, which seeks approval for paroxetine
15 mesylate capsules, 7.5 milligrams, for the
16 treatment of moderate to severe vasomotor symptoms
17 associated with menopause.

18 Here's an outline of my presentation. I'll
19 give a short introduction to the NDA submission,
20 including some regulatory history, then I'll give
21 an overview of the phase 2 and phase 3 clinical
22 studies. After that, the efficacy results will be

1 discussed by our FDA statistician, Dr. Guo. After
2 her presentation, I'll return to discuss our safety
3 findings.

4 Paroxetine is a serotonin reuptake
5 inhibitor, and as such belongs to the SSRI class of
6 drugs. The division's review of paroxetine was
7 based primarily on data from the applicant's single
8 phase 2 study -- we refer to it here as 002 -- and
9 the two phase 3 studies, referred to as 003 and
10 004. Currently, paroxetine is not approved in any
11 country for the VMS treatment indication. If it's
12 approved in this country, it may be the first
13 non-hormonal drug approved for treatment of
14 moderate to severe vasomotor symptoms association
15 with menopause.

16 Slide 4 reviews the history of paroxetine.
17 Paroxetine, the active ingredient, was first
18 marketed in the U.S. in 1992 as paroxetine
19 hydrochloride. The current indications for
20 paroxetine hydrochloride are psychiatric and are
21 listed here. The current approved dosing for these
22 indications ranges from 10 milligrams per day to a

1 maximum of 60 milligrams per day.

2 Pexeva, which is the applicant's product in
3 tablet form and substitutes mesylate for
4 hydrochloride as the associated salt, was approved
5 for similar psychiatric indications in 1993. The
6 proposed dose to treat VMS is 7.5 milligrams daily,
7 which is lower than the approved psychiatric doses.

8 The product has a relatively long regulatory
9 history. The FDA issued a draft guidance for the
10 clinical evaluation of hormonal products for
11 menopausal symptoms in 2003. Dr. Joffe has
12 previously discussed this guidance. Through
13 information requests in 2008, it was agreed that a
14 mean reduction from baseline of at least 2 hot
15 flashes per day in the paroxetine arm, greater than
16 that of the placebo arm, would meet the definition
17 of a clinically meaningful reduction in hot
18 flushes. Methods of severity scoring were also
19 discussed at that meeting.

20 At the end of the phase 2 meeting, a
21 demonstration of persistence of benefit beyond 12
22 weeks was requested, as well as a formal evaluation

1 of suicidality. A special protocol assessment
2 agreement was reached in 2011 for study protocol
3 003 prior to initiating the study. An SPA was not
4 requested for the Study 004 protocol. In 2011, a
5 responder analysis was agreed upon to demonstrate
6 persistence of benefit in the 24-week Study 004.

7 This slide summarizes the phase 2 Study 002.
8 This is a proof of concept study using just the
9 paroxetine 7.5-milligram dose. No exploration of
10 dose response was done in this study. The
11 7.5-milligram per day dose, which was used in both
12 phase 3 studies, was based on published literature,
13 showing no difference in dose response with regard
14 to efficacy for VMS treatment in doses ranging from
15 10 to 25 milligrams. But there was a dose
16 relationship for tolerability, so that a dose lower
17 than the doses used to treat psychiatric disorders
18 was chosen in order to achieve better patient
19 tolerability. Data from this study was not used
20 for the efficacy analysis but was used in the
21 safety analysis.

22 This slide summarizes the two phase 3

1 clinical studies. In Study 003, the median
2 reduction in frequency of moderate to severe hot
3 flushes between paroxetine and placebo was less
4 than 2 hot flushes per day. Therefore, the
5 clinical meaningfulness of this reduction was
6 further explored. Our statistician will discuss
7 the concept of clinical meaningfulness and how this
8 was used to evaluate efficacy in Study 003.

9 In Study 004, a secondary analysis was
10 planned to assess the persistence of benefit at
11 week 24 using a responder analysis. Responders
12 were defined as those subjects who achieved a
13 50 percent or greater reduction from baseline in
14 moderate to severe hot flush frequency at week 24
15 so that a difference in the responder rate between
16 the active and the placebo-treatment groups would
17 demonstrate a persistence of benefit. Our
18 statistician will also discuss this in more detail.

19 Both phase 3 studies were very similar in
20 design. Both studies were randomized,
21 double-blind, placebo-controlled, multicenter
22 studies in women with either natural or surgical

1 menopause. Both trials were conducted entirely in
2 the U.S. An electronic diary was available
3 throughout the day or night and used for daily
4 entry of hot flush data.

5 Subjects were provided with definitions of
6 mild, moderate, and severe hot flushes, which
7 conformed to those previously specified in the
8 FDA-VMS draft guidance document. As mentioned
9 previously, the 7.5-milligram dose, which was used
10 in both studies, was based on published literature,
11 showing efficacy for VMS symptoms for doses ranging
12 from 10 to 25 milligrams. The division agreed to
13 the plan to minimize placebo responders by
14 requiring subjects to requalify on the basis of VMS
15 frequency and severity after the placebo run-in
16 period.

17 Inclusion criteria were identical in both
18 phase 3 studies. Hot flush frequency and severity
19 inclusion criteria conformed to the entry criteria
20 in our hormonal VMS draft guidance. That is at
21 least 7 to 8 moderate to severe hot flushes daily
22 or 50 to 60 hot flushes weekly for at least 30 days

1 prior to screening. Subjects were asked to
2 discontinue any psychotropic drugs or hormone
3 therapy prior to initiating the study. Exclusion
4 criteria were nearly identical across studies.
5 Both studies excluded prior SSRI or SNRI
6 non-responders.

7 Regarding the third bullet, the phase 3
8 study subjects were generally free of any history
9 of significant psychiatric disorders. Exclusion
10 criteria for Study 004 were initially more liberal
11 regarding time frames for a past history of
12 psychiatric illness, but the protocol was later
13 amended and tightened to exclude most subjects who
14 had a history of psychiatric illness in their
15 lifetime. Approximately 75 percent of subjects in
16 Study 004 were enrolled under the original protocol
17 that only excluded subjects with a major depressive
18 episode within two weeks prior to enrollment,
19 whereas 25 percent of the subjects were enrolled
20 under the modified version that excluded subjects
21 with a history of major depressive disorder any
22 time in their life, and this was similar to

1 Study 003.

2 Although the division generally prefers no
3 BMI restrictions in these types of studies to
4 better reflect the general population of patients
5 who may potentially use this drug, the restriction
6 of a BMI of 40 or greater, that is, morbid obesity,
7 did not seem unusually restrictive.

8 The definitions of the efficacy and safety
9 populations were previously agreed upon. The mITT
10 population consisted of all randomized subjects
11 with a valid baseline, daily hot flush diary data,
12 and who had taken at least one dose of study drug
13 and had at least one day of on-treatment, daily
14 diary data.

15 The safety population consisted of all
16 subjects who took at least one dose of study drug
17 and had at least one post-dose safety assessment.
18 The numbers of subjects in the mITT and safety
19 populations were very similar to the numbers of
20 subjects who were randomized.

21 At this point, Dr. Guo will now talk about
22 the efficacy evaluations.

FDA Presentation - Jia Guo

1
2 DR. GUO: Good afternoon. My name is Jia
3 Guo. I'm the statistical reviewer from the
4 Division of Biometrics III, Office of
5 Biostatistics. As part of the FDA efficacy
6 evaluation, I will focus on the analysis of the
7 co-primary efficacy endpoints, supported endpoints,
8 then highlight the summary of our evaluation.

9 The four co-primary endpoints are predefined
10 as the change from baseline in daily frequency and
11 severity of moderate to severe VMS at week 4 and
12 week 12 for both Studies 003 and 004. The
13 supportive endpoints include the clinical
14 meaningfulness in Study 003 and the persistence of
15 efficacy at week 24 in Study 004.

16 This slide summarizes the analysis method
17 for the co-primary endpoints. For each endpoint,
18 the applicant prespecified rank-ANCOVA analysis for
19 the hypothesis testing. FDA agreed with this
20 method. The treatment effect was estimated using
21 the median difference in each endpoint between
22 paroxetine and placebo groups. To demonstrate the

1 efficacy of paroxetine mesylate, the comparisons on
2 all four co-primary endpoints must be statistically
3 significant.

4 This table summarizes the analysis results
5 of the co-primary endpoints. The fourth and the
6 fifth columns show the median baseline and a change
7 from baseline in daily VMS frequency and severity
8 at weeks 4 and 12 for each treatment group by
9 study. The last column is the treatment difference
10 between paroxetine and the placebo groups.

11 At baseline, the median frequencies were
12 about 9 to 10 per day and were very similar between
13 treatment groups. For daily frequency, compared to
14 the subjects in placebo group, the subjects in the
15 paroxetine group reduced .9 to 1.7 more hot flashes
16 at week 4 and 12 in the two studies. And the
17 comparisons between paroxetine and the placebo and
18 the reduction in daily frequency were statistically
19 significant at both weeks 4 and 12.

20 The median daily severity was about 2.5 in
21 both studies. In each treatment group at baseline,
22 the severity score can range from 2 to 3 for

1 subjects who have at least one moderate or severe
2 hot flash. At weeks 4 and 12, the reduction in
3 daily severity in paroxetine group was a little bit
4 more than that in placebo group by .03 to .05 in
5 both studies. The comparisons between paroxetine
6 and the placebo on the reduction of daily severity
7 were statistically significant at both weeks,
8 except at week 12 in Study 003.

9 Next, I'm going to present FDA analysis of
10 clinical meaningfulness because the treatment
11 difference in reduction in VMS frequency was
12 statistically significant at weeks 4 and 12, but
13 the effect was less than 2 per day. FDA has
14 observed that the magnitude of the treatment effect
15 of non-hormonal treatments in VMS frequency is less
16 than that observed for standard-dose hormonal
17 therapies. FDA requested this analysis to be
18 conducted to ensure that such treatment effect is
19 still of clinical benefit.

20 This analysis links the change from baseline
21 in VMS frequency to a subject's perception of
22 improvement in VMS, which was assessed by a 7-point

1 patient global impression questionnaire at weeks 4
2 and 12. Subject's response to the question can
3 vary between very much better to very much worse.

4 This flowchart outlines the analysis
5 procedure to evaluate the clinical meaningfulness.
6 This analysis is done at weeks 4 and 12,
7 respectively. First, regardless of treatment
8 assignment, all subjects were grouped as satisfied
9 and unsatisfied based on PGI response. FDA
10 recommended that subjects should be considered
11 satisfied with their treatment if their response
12 was very much better or much better, and were
13 considered unsatisfied otherwise. Then a receiver
14 operating characteristic analysis was conducted
15 with a satisfaction categorization to determine the
16 threshold for a clinical meaningful reduction in
17 daily VMS frequency.

18 Using the threshold determined in step 2,
19 subjects were defined as responders or
20 non-responders. Responders were defined as those
21 subjects who achieved a reduction in daily VMS
22 frequency greater than the established threshold.

1 In the last step, the proportions of the responder
2 rate between paroxetine mesylate and placebo groups
3 are compared.

4 This table presents the responder rates by
5 treatment groups. At week 4, the estimated
6 threshold value for change from baseline in daily
7 frequency was -4. Subjects were classified as
8 responders if their VMS frequency was reduced
9 greater than 4 per day. Fifty percent of subjects
10 in the paroxetine group and 37 percent of the
11 subjects in the placebo group were responders. At
12 week 12, the estimated threshold value was -5.3.
13 Fifty-one percent of subjects in the paroxetine and
14 43 percent of subjects in the placebo group were
15 responders. No adjustment for multiplicity was
16 made for this supportive analysis.

17 Next, I'm going to talk about analysis of
18 persistence of treatment benefit at week 24. In
19 Study 004, the applicant preplanned a responder
20 analysis to assess the persistence of efficacy at
21 week 24. In this analysis, responders were defined
22 as those subjects who achieved at least 50 percent

1 reduction from baseline in daily VMS frequency at
2 week 24. Subjects whose reduction at week 24 was
3 less than 50 percent or dropped out before week 24
4 were considered as non-responders.

5 The responder rates were compared using a
6 logic model. FDA explored the treatment benefit of
7 reduction of daily VMS frequency descriptively in
8 Study 004 by plotting the median changes over time.
9 Compared to week 12, the treatment effect appeared
10 to be similar at week 24. In the mITT population,
11 about 48 percent of subjects in paroxetine group
12 and 36 percent of subjects in placebo group
13 achieved at least 50 percent reduction in daily VMS
14 frequency from baseline.

15 This table summarizes the results of
16 efficacy evaluation by FDA. In the two phase 3
17 studies, the comparisons between paroxetine and
18 placebo and reduction of daily VMS frequency were
19 statistically significant at weeks 4 and 12. And
20 the comparisons of the reduction of daily VMS were
21 statistically -- on the reduction of daily VMS
22 severity were statistically significant at all

1 weeks, except at week 12 in Study 003. In the
2 supportive analysis to demonstrate clinical
3 meaningfulness, the responder rates were higher in
4 paroxetine group compared to placebo group at weeks
5 4 and 12. In the analysis of persistence of
6 efficacy at week 24, the responder rate was higher
7 in paroxetine group at week 24.

8 Dr. Orleans will come back to the podium to
9 present FDA's safety evaluation.

10 **FDA Presentation - Ronald Orleans**

11 DR. ORLEANS: The evaluation of paroxetine
12 safety was based on the database from the clinical
13 development program and the postmarketing safety
14 information from the approved Pexeva product. At
15 the pre-NDA meeting, the division agreed that the
16 pooling of the safety data from the two phase 3
17 trials and from the supporting phase 2 trial was
18 acceptable. Safety data from the phase 1
19 pharmacokinetic study, Study 005, was not
20 integrated into the data set because this study
21 enrolled basically healthy women, and this study
22 did not use a placebo or a comparator drug.

1 This slide lists the labeled safety issues
2 associated with paroxetine. Regarding suicidality,
3 The Pexeva label states that all patients being
4 treated with antidepressants for any indication
5 should be monitored appropriately. Serotonin
6 syndrome has been reported with both SSRIs and
7 SNRIs. Teratogenic effects occurring in the first
8 trimester of pregnancy have been reported from
9 epidemiological studies. Labeled precautions
10 include risk of seizures, CYP2D6 inhibition,
11 psychomotor restlessness, hyponatremia, increased
12 risk of bleeding events, bone fracture, and
13 worsening of glaucoma. The division was especially
14 mindful of all these conditions when evaluating the
15 safety portion of this NDA.

16 Subject disposition was similar across both
17 arms of the phase 3 studies. For Study 003, the
18 12-week study, a similar percentage of subjects in
19 both groups completed the study. The percentage of
20 subjects who discontinued due to adverse events or
21 serious adverse events were higher in the
22 paroxetine group, 2.6 percent versus 1.3 percent.

1 In Study 004, which was 24 weeks, a similar
2 percentage in each arm completed the study. The
3 percentage of discontinuations caused by AEs/SAEs
4 was the same in both groups but certainly higher
5 than Study 003, perhaps because it was a longer
6 study.

7 The one death that occurred in Study 003 was
8 in a 55-year-old African American female who
9 experienced a cardiorespiratory arrest 68 days
10 after starting treatment with paroxetine mesylate.
11 She died one day later, and was listed as having
12 had two serious adverse events: coronary
13 arteriosclerosis and cardiorespiratory arrest. She
14 had a medical history of increased cholesterol and
15 hypertension and had been taking benazepril, an
16 antihypertensive, for about 15 years. She was
17 noted to be hypertensive at her screening visit
18 with a blood pressure of 146/86. Given the limited
19 information, it was not possible for the division
20 to determine if this death was drug related or not.

21 Thirteen non-fatal SAEs were reported in 13
22 subjects, about 2 percent in the paroxetine group

1 reported in 9 subjects; about 1.4 percent in the
2 placebo group in the pooled safety database. This
3 slide lists the 13 non-fatal SAEs that occurred in
4 the paroxetine group. With the exception of the
5 single death in the 003 study, the SAEs in the
6 remaining 13 paroxetine subjects were all reported
7 in the 24-week Study 004. SAEs in the 9 subjects
8 in the placebo group were reported in both the
9 phase 2 study -- 1 subject -- and in both phase 3
10 studies, 1 subject in Study 003 and 7 subjects in
11 Study 004.

12 Six of the 13 SAEs occurred within the first
13 12 weeks of the study. All 13 of these SAEs
14 resolved without sequelae. The main SAEs of
15 concern, based on this listing, are suicidal
16 ideation and suicide attempt. These occurred
17 exclusively in the paroxetine group. These SAEs
18 occurred in a population screened for depression
19 and other psychiatric illnesses. A total of 28
20 subjects in the paroxetine group, 4.4 percent, and
21 21 subjects in the placebo group, 3.3 percent, had
22 adverse events leading to study drug

1 discontinuation. So the percentage of
2 discontinuations were slightly higher with
3 paroxetine.

4 This table is a subset of all adverse events
5 causing discontinuation and attempts to list only
6 the discontinuations due to mood effects, which
7 could possibly be related to paroxetine. It's
8 interesting to note that even the most frequently
9 reported adverse events resulting in drug
10 discontinuation only occurred in two subjects. And
11 that anxiety led to discontinuation more often in
12 placebo subject than paroxetine subjects.

13 Events of concern were prespecified by the
14 applicant in this statistical analysis plan as
15 being of specific interest based on adverse events
16 commonly reported for the drug classes of SSRIs and
17 SNRIs. These included cardiovascular events,
18 hepatic events, gastrointestinal and bleeding
19 events, and suicidality events. Based on our
20 review of the application thus far, no signals were
21 detected regarding cardiovascular, hepatic,
22 gastrointestinal, or bleeding events. The only

1 event of some concern was suicidality, which was
2 prospectively assessed in all four clinical
3 studies.

4 The term "suicidality" is defined to include
5 suicide attempts, suicide behavior, and suicide
6 ideation. Suicidality in these studies was
7 determined in three ways: 1) either through the
8 STS scale used in Study 003; 2) through the CSSRS
9 scale used in Study 003, or; 3) through adverse
10 event or serious adverse event reporting.

11 Suicidality detection overlapped, as some
12 events were detected both as an AE/SAE and also
13 through the suicidality instruments. It seems
14 likely that relying only on adverse event reporting
15 would result in under-detection of suicidality, but
16 it is unclear to what extent relying on the
17 screening instruments results in false positive
18 reports of suicidality.

19 All treatment-emergent cases of suicidality
20 reported in the phase 3 trials occurred in
21 Study 004, which was at 24 weeks duration. One
22 suicide attempt occurred. This was in a

1 50-year-old Caucasian woman who took an overdose of
2 non-study medication in the setting of increased
3 anxiety and depressed mood. This occurred on
4 day 55 of the study. She was rushed to the
5 hospital, treated, and released. She continued on
6 the study drug for another month until the drug was
7 discontinued.

8 The three SAEs of suicidal ideation all
9 occurred after 12 weeks of treatment, but it isn't
10 clear that duration of exposure is a relevant
11 factor.

12 Potential suicidality is described in class
13 labeling for all antidepressant drugs. Here are
14 some summary points with respect to this
15 application.

16 The incidence of suicidality in the clinical
17 studies was found to be low, but the incidence was
18 greater in women treated with paroxetine. The
19 division sought consultation regarding the
20 suicidality risk from the Division of Psychiatric
21 Products. Their conclusions are listed on this
22 slide. There was no higher rate of

1 discontinuations due to treatment-emergent
2 suicidality.

3 The clinical studies submitted to the NDA do
4 not demonstrate an increased risk of suicidal
5 ideation or behavior for drug versus placebo in
6 these study populations. Based on the exclusion
7 criteria, the studies are not fully representative
8 of the population who may use this drug. If the
9 drug is approved for VMS treatment, ongoing
10 surveillance was advised. And finally, labeling
11 should include a suicidality boxed warning.

12 Overall, 50 percent of subjects in the
13 paroxetine group and 47 percent of subjects in the
14 placebo group reported at least one adverse event.
15 This slide depicts the frequency of selected common
16 adverse events in at least 1 percent of subjects
17 and at a higher incidence than placebo. Adverse
18 events we believe are unlikely study related, such
19 as nasopharyngitis, bronchitis, urinary tract
20 infection and the like, are omitted in this slide.
21 AEs that occurred at a higher incidence in
22 paroxetine subjects and are possibly drug related

1 are highlighted and include dizziness, nausea,
2 fatigue, and mood swings. Overall, though, there
3 doesn't appear to be any major differences in the
4 incidence of common AEs between the treatment arms.

5 In the clinical trials, subjects were
6 started on paroxetine mesylate without titration
7 and were discontinued from the drug without
8 tapering. A discontinuation emerging signs and
9 symptoms checklist was administered 7 days after
10 the last dose of study drug. Prior symptoms means
11 a symptom which was present while taking study drug
12 and continued into the post-drug 7-day period.
13 Prior symptoms persisted in relatively the same
14 number of subjects in each group, 405 and 414. In
15 both groups, the symptoms were most likely to
16 remain unchanged, but prior symptoms worsened in
17 about 25 percent of the subjects who stopped
18 paroxetine and 18 percent of those who discontinued
19 placebo.

20 Based on this checklist, about 18 percent of
21 the subjects on paroxetine and 14 percent on
22 placebo developed new symptoms during the week

1 after discontinuation. Certain new symptoms, such
2 as muscle cramps or spasm, restless feeling in the
3 legs, and trouble sleeping or insomnia were
4 reported in the paroxetine group at twice the
5 incidence of the placebo group. Overall, though,
6 there doesn't seem to be a need for tapering the
7 dose when the medication is discontinued.

8 This review did not reveal any new or
9 unlabeled safety issues relating to paroxetine
10 mesylate. In summary, our conclusions regarding
11 safety are these:

12 The overall incidence of serious adverse
13 events, treatment-emergent adverse events, and
14 adverse events of specific interest did not differ
15 much by treatment arm. Central nervous system and
16 mood-related adverse events occurred more
17 frequently among subjects on paroxetine as did
18 suicidality-related events, though at a low rate,
19 and that, currently, labeling addresses the risk of
20 suicidality. Thank you.

21 **Clarifying Questions to FDA from Committee**

22 DR. JOHNSON: Thank you.

1 We have about 10 minutes for questions to
2 the FDA, although we will allow time for questions
3 after the open hearings, as well. I'd like to
4 start off with a question. If you would bring up
5 slide 18, I would ask Dr. Guo.

6 Would you say that there is a significant
7 effect --

8 DR. ORLEANS: Can you bring up the slide,
9 Kalyani?

10 DR. JOHNSON: Would you say that there is a
11 significant effect on clinical meaningfulness at
12 week 12 on Study 3?

13 DR. GUO: So for this analysis, no
14 multiplicity adjustment was done. So for week 4,
15 we want to comment on the statistical significance
16 for both weeks.

17 DR. JOHNSON: So is week 12 significant?
18 Did you see significant improvement in clinical
19 meaningfulness at week 12?

20 DR. GUO: Yes. As I just said, based on the
21 responder rates, we do see higher responder rate in
22 the paroxetine group. But based on the p value,

1 since this analysis was done at both weeks, and we
2 did not control the multiplicity -- the type 1
3 error control was not done, so we will not comment
4 whether this comparison was statistically
5 significant or not.

6 DR. JOHNSON: Okay. Next, Dr. Curtis.

7 DR. CURTIS: I've been trying to sort
8 through all of this clinical meaningfulness data,
9 and I just wanted to clarify, was the only
10 prespecified analysis on clinical meaningfulness
11 the PGI data in Study 3? Is that correct?

12 DR. GUO: Right. This is prespecified.

13 DR. CURTIS: Okay. And then this is
14 actually my question I had earlier for the sponsor
15 as well. Given that this is sort of the main
16 analysis of, and it's clearly an important
17 endpoint, and also that persistence of benefit is a
18 meaningful endpoint, but one was measured in
19 Study 3 and one was measured in Study 4; can you
20 tell us a little bit about the reasons for that and
21 why this measure wasn't measured in both studies?
22 And again, why persistence wasn't measured in both

1 studies?

2 DR. GUO: I think maybe the sponsor can
3 comment on that.

4 DR. LIPPMAN: Albeit paradoxically, Study 4
5 actually started before Study 3. And we met with
6 the FDA before we started Study 3, which was done
7 under a special protocol assessment -- and we
8 agreed upon the scale that was used for the ROC
9 analysis with the FDA. But Study 4 was already in
10 progress. We actually did have a different scale
11 that we used to look at clinical meaningfulness in
12 Study 4, but it was not prespecified by the FDA.

13 So I would like to have the NRS Study 4
14 slide up, please. So in Study 4, as I said, we did
15 actually have a patient -- slide up, please. We
16 did do a patient responder type analysis based upon
17 the NRS, Numerical Rating Scale. And as you can
18 see in this slide here, also LDMP had a benefit
19 versus placebo, which treats statistical
20 significance at most endpoints.

21 DR. JOHNSON: Dr. Orza?

22 DR. ORZA: A question for FDA about the

1 adverse event data. Table 10 in the background
2 materials, and then I think it was slide 28, it did
3 seem like the non-Caucasians were
4 disproportionately represented in the adverse event
5 data. I wondered if that was true and whether you
6 looked for and found any differences in efficacy by
7 race.

8 DR. ORLEANS: I'm sorry. I didn't
9 understand the question.

10 DR. ORZA: Differences by race in either
11 adverse event --

12 DR. ORLEANS: In terms of efficacy?

13 DR. ORZA: -- profiles or efficacy.

14 DR. ORLEANS: We didn't look at that, as far
15 as I know. We didn't do the subset.

16 DR. LIPPMAN: So we did look to see if there
17 is any evidence in a number of different subgroups
18 by doing an effect modification analysis. So we
19 looked at the effect potentially of race and age,
20 as well as menopausal status and BMI.

21 Slide up, please. So you can see here this
22 includes all the different subgroups looked at.

1 Could I please have the one just on the
2 effect of Caucasian versus non-Caucasian? The
3 forest plot? Slide up, please. So we did do an
4 effect modification analysis looking at the effect
5 of Caucasian versus non-Caucasian, Study 3 and
6 Study 4. And most of the point estimates are to
7 the left, favoring LDMP.

8 DR. MONTGOMERY RICE: Can you address the
9 other part of her question, though?

10 DR. LIPPMAN: Pardon?

11 DR. MONTGOMERY RICE: About the side effects
12 that seemed to be at a higher proportion.

13 DR. LIPPMAN: Thank you. So I'm going to
14 ask Dr. Lucini to come back up and please discuss
15 in terms of side effects, noticed any effect of
16 race, please.

17 DR. LUCINI: Looking at the overall adverse
18 event experience in the studies, we did note a
19 difference in adverse event rates when comparing
20 Caucasian versus African American patients.

21 Slide up, please. For all
22 treatment-emergent adverse events, there was a

1 higher rate of reporting on the LDMP arm for
2 Caucasian subjects, however, cardiovascular and
3 hepatic adverse events occurred at a higher
4 incidence in African American patients.

5 DR. JOHNSON: Dr. Kittelson?

6 DR. KITTELSON: Yes. I have a question
7 about -- I guess the FDA slides. And the easiest
8 place to get to it is slide 5 on the primary
9 analysis with the ranked analysis of covariance.

10 Yes, there you go. We talked earlier about
11 them, about rank-based inference and how difficult
12 it might be, I guess, to get good estimates of a
13 mean.

14 Did you do -- or did anybody do a classic
15 least-squares analysis of covariance on this? One
16 thing, the centers here are the differences.
17 There's no confidence interval. Do you have a
18 confidence interval on that so I can see the
19 magnitudes of effect we can rule in or rule out?
20 Or did anybody do that? Because it is -- I mean, a
21 confidence interval on the difference between
22 treatments on that last column there would be very,

1 very useful.

2 DR. GUO: Yes. FDA, we did a parametric
3 ANCOVA analysis on the original data. And the
4 treatment effect, which is based on the least-
5 squares estimate, is similar to the median
6 presented here. And the statistical significance
7 does not change for all co-primary endpoints for
8 all the -- in both studies.

9 DR. KITTELSON: But a confidence interval?
10 You don't have the slide prepare. Or do you have
11 the slide prepared?

12 DR. GUO: Right. We just used that
13 parametric ANCOVA analysis as sensitivity analysis.

14 DR. KITTELSON: Right. Right.

15 DR. GUO: Rank ANCOVA was prespecified, so
16 we did not report the confidence interval for the
17 parametric ANCOVA analysis.

18 DR. KITTELSON: Yes, I understand. But the
19 importance of a confidence interval around the
20 difference between two groups is quite -- you know,
21 it's sort of our standard way of looking at things.
22 And when you go to the rank-based inference, it's

1 difficult, one thing, to see an -- I presume these
2 medians in the median differences are not adjusted
3 for any baseline value. Is that correct? They're
4 just the actual medians in these groups?

5 DR. GUO: Right. This is just a median
6 change for each group.

7 DR. KITTELSON: Yes. So if I were going to
8 do an analysis, a parametric analysis of
9 covariance -- which, by the way, is not dependent
10 upon normality assumptions of the data itself, only
11 of the estimates which should be defined in the
12 sample size -- I would also have an adjusted
13 magnitude in each of the two groups and an adjusted
14 difference between them, and a confidence interval
15 that would go with it. So I'm gathering we don't
16 have such a thing easily accessible.

17 DR. JOHNSON: Did you have a brief comment?

18 DR. LIPPMAN: Yes. Thank you. Dr.
19 Blumenstein can come up and discuss that a little
20 further.

21 DR. JOHNSON: Briefly, please.

22 DR. BLUMENSTEIN: Slide up, please. So

1 these are the confidence intervals that you're
2 requesting for the Hodges-Lehmann estimates, for
3 the confidence intervals on the median. We also
4 did parametric tests, and I can --

5 DR. KITTELSON: These will be adjusted for
6 baseline value? Is that correct? Or baseline
7 rank, some sort of way?

8 DR. BLUMENSTEIN: I believe that this
9 particular graph is the difference -- just the
10 difference without the covariate.

11 DR. KITTELSON: Okay. I think I do remember
12 seeing them somewhere, and I likewise didn't see
13 major disagreement between the analyses presented
14 and the least-squares classic estimates.

15 DR. BLUMENSTEIN: I'm sorry. I didn't hear
16 that last --

17 DR. KITTELSON: Oh. I said, I seemed to
18 remember somewhere in these documents running
19 across a similar kind of graph and deciding that
20 there wasn't a grave difference between them. So I
21 wouldn't want to leave the wrong impression with
22 the committee, but you're confirming that, I

1 understand, with this. And FDA has also confirmed
2 that there wasn't any grave difference. And the
3 confidence intervals would have been nice to see,
4 but this is the best we'll get, I think. Thank
5 you.

6 DR. JOHNSON: I would ask the other members
7 of the committee to hold your important questions.
8 We will bring them to the FDA after the open public
9 hearing. We now have a 12-minute break. Please be
10 back at 3:30.

11 (Whereupon, a recess was taken.)

12 **Open Public Hearing**

13 DR. JOHNSON: We will now proceed with our
14 open public hearings. Please be seated.

15 Both the FDA and the public believe in a
16 transparent process for information-gathering and
17 decision-making. To ensure such transparency at
18 the open public hearing session of the advisory
19 committee meeting, FDA believes that it is
20 important to understand the context of an
21 individual's presentation.

22 For this reason, the FDA encourages you, as

1 a open public hearing speaker, at the beginning of
2 your oral or written presentation to advise the
3 committee of any financial relationship you may
4 have with the sponsor, its product, and, if known,
5 its direct competitors. For example, this
6 financial information may include the sponsor's
7 payment for travel, lodging, or other expenses in
8 connection with your attendance at the meeting.

9 Likewise, FDA encourages you at the
10 beginning of your statement to advise the committee
11 if you not have any such financial relationship.
12 If you do not address this issue with the financial
13 relationship at the beginning of your statement, it
14 will not preclude you from speaking.

15 The FDA and this committee place great
16 importance on the open public hearing process. The
17 insights and comments provided can help the agency
18 and this committee in their consideration of the
19 issues before them.

20 That said, in many instances and for many
21 topics, there are a variety of opinions. One of
22 our goals today for this open public hearing is to

1 be conducted in a fair and open way, where every
2 participant is listened to carefully, treated with
3 dignity, courtesy, and respect. Kindly remember to
4 speak only when recognized by the chair, and we
5 thank you sincerely for your cooperation.

6 Now, let us begin with speaker 1.

7 MS. RYAN: Good afternoon. My name is Kate
8 Ryan, and I'm speaking again on behalf of the
9 National Women's Health Network, which does not
10 take financial contributions from any entity with a
11 financial stake in women's health decision-making.

12 The results of the LDMP trial show that it
13 is also only moderately effective for the relief of
14 menopausal hot flashes, with differences of about
15 one or a little over one hot flash, less hot
16 flashes, and only a .3 or 4 reduction in the
17 severity of those hot flashes. As with the drug
18 considered this morning, LDMP did not meet
19 statistical significance for all of the
20 prespecified primary endpoints, which is
21 disappointing, though, again, we do recognize the
22 placebo effect in these trials is also very strong.

1 We are, however, concerned about some
2 aspects of the known safety profile of LDMP because
3 of the population's new formulation it's intended
4 to treat. Included in the current label, there's
5 precaution about the drug interaction with
6 tamoxifen, as well as a class-wide precaution about
7 the association between SSRIs and bone fractures.
8 These two warnings are of particular concern when
9 you consider the women who might seek to use LDMP
10 for hot-flash relief are also more likely to be at
11 risk for these potential harms than people who
12 might seek to use it for depression.

13 One of the groups most in need of
14 non-hormonal hot flash treatments are women with
15 estrogen-dependent cancers who cannot take hormones
16 and may be taking tamoxifen, which causes hot
17 flashes. However, LDMP is not appropriate for
18 women using tamoxifen because there's evidence that
19 it reduces the efficacy of tamoxifen when they're
20 prescribed together. With regard to fractures,
21 many women who will seek treatment for hot flashes
22 may also have or be at risk for osteoporosis, and

1 therefore are also not good candidates for a drug
2 that adds to their existing risk factors for a bone
3 fracture.

4 LDMP carries these risks in addition to the
5 risks of suicidality that is shared with
6 gabapentin, the drug discussed this morning. For
7 this morning's drug, we were willing to accept what
8 we considered to be a very close benefit/risk
9 profile of a minimally to moderately effective drug
10 and a known safety profile, but with this
11 afternoon's drug also being only moderately
12 effective and, in our opinion, a more concerning
13 safety profile.

14 We know that many women struggling with hot
15 flashes do not want to use hormones, which leaves
16 them without well-proven options for relief. We
17 believe that a moderately effective drug could
18 provide an important option for women at menopause
19 if it were safe, and we again call for a closer
20 look at the success of the placebo groups in both
21 of these drugs, but given the committee's
22 discussion and conclusion this morning, it would

1 not be consistent to recommend approval of this
2 drug.

3 While we all want non-hormonal treatments,
4 we are pleased that the committee is recommending
5 the FDA hold to scientific standards that actually
6 will meet women's needs. Thank you very much.

7 DR. JOHNSON: Thank you. Speaker number 2.

8 DR. CAROME: Good afternoon. I'm Dr.
9 Michael Carome, deputy director of Public Citizens
10 Health Research Group, testifying on behalf of
11 myself, Dr. Sammy Almashat, and Dr. Sid Wolfe, our
12 director. We have no financial conflicts of
13 interest.

14 We strongly oppose the FDA's approval of
15 paroxetine for treatment of moderate to severe VMS
16 due to menopause because, 1) with respect to
17 benefits, the phase 3 clinical trial has failed to
18 demonstrate evidence of clinically significant
19 benefits for paroxetine in comparison to placebo,
20 and; 2) with respect to paroxetine, a psychotropic
21 drug, given its risk, it has many well-documented
22 risks that far outweigh the trivial benefits of the

1 drug for the proposed indication.

2 In terms of efficacy deficiencies, as seen
3 in table 7 of the FDA background document, in the
4 two phase 3 trials, the reduction in the frequency
5 of moderate to severe VMS at week 12 from baseline
6 with paroxetine versus placebo, although
7 statistically significant was not clinically
8 significant, -0.9 and -1.7 with a mean baseline
9 frequency of 10. The one study that evaluated
10 whether the reduction of frequency was clinically
11 meaningful failed to show clinical meaningful
12 changes at week 12, and the reduction of VMS
13 severity at week 12 was not statistically
14 significant in one trial and was trivial, -0.05 in
15 the other.

16 In terms of safety problems, the current
17 FDA-approved label for paroxetine lists multiple
18 adverse reactions, some of them potentially life-
19 threatening, including serotonin syndrome, which
20 can cause coma or death, seizures or convulsions,
21 manic episodes, hyponatremia, bleeding, and
22 potential reduction in the efficacy of tamoxifen,

1 which is important because women with breast cancer
2 or high risk of breast cancer who may be taking
3 tamoxifen constitute a significant target
4 population for this potential drug.

5 The label also lists common adverse events
6 that led to discontinuation of paroxetine in
7 clinical trials for other now approved indications
8 that were twofold higher or greater than placebo,
9 which included somnolence, insomnia, agitation,
10 tremor, dizziness, and sexual dysfunction,
11 including decreased libido on many trials.

12 Safety data from the phase 3 trials for this
13 NDA revealed that suicidal ideation, suicidal
14 attempts, depressed mood or elevated mood led to
15 drug discontinuation in five paroxetine subjects
16 and no placebo subjects. The FDA noted that these
17 were plausibly related to the study drug.

18 Finally, a recently published review from
19 the Nordic Cochrane Center found that withdrawal
20 symptoms to SSRIs were very similar to those for
21 benzodiazepines, and the paroxetine medication
22 guide also warns that stopping Pexeva too quickly

1 may cause serious symptoms, including anxiety,
2 irritability, high or low mood, feeling restless or
3 changes in sleep habits, headache, sweating,
4 nausea, dizziness, electric shock-like sensations,
5 shaking, or confusion.

6 In conclusion, based on the sponsor's and
7 FDA's analyses, paroxetine is at best marginally
8 effective in treating moderate to severe VMS due to
9 menopause, as changes from baseline to VMS
10 frequency or severity seen with paroxetine versus
11 placebo were not clinically meaningful. Given the
12 absence of evidence demonstrating clinically
13 significant benefits and the known risk of the
14 drug, the high risk-to-benefit ratio for -- (mic
15 timed out.)

16 DR. JOHNSON: Thank you. Speaker number 3.

17 DR. JENNINGS: My name is Dr. Mary Carol
18 Jennings. I speak today on behalf of the National
19 Research Center for Women and Families. I have no
20 conflicts of interest, and I trained in obstetrics
21 and gynecology at Boston Medical Center.

22 We can all agree that we need safer

1 alternatives to hormones. Paxil is widely used and
2 available for depression and several other
3 indications. The key questions today are whether
4 there is clear scientific evidence that this
5 version of paroxetine works for hot flashes, and,
6 if so, do the benefits outweigh the risks?

7 As the FDA has clearly stated in their memo
8 to you, the company reported a significant
9 reduction in the frequency of hot flashes at week 4
10 but only in one study at week 12. And one of the
11 studies did not show a significant reduction in
12 severity of hot flashes through week 12.

13 What are the risks? FDA notes that the
14 greatest risk is depression and suicide. Although
15 patients were screened and depression and a long
16 list of psychiatric conditions were exclusion
17 criteria for most patients, the data clearly show
18 that women taking paroxetine are more likely to
19 have suicidal thoughts and behaviors than women
20 taking placebo. This is true even on this very
21 small dose, the 7.5 milligrams. The CDC tells us
22 that women between the ages of 45 to 54 have the

1 highest rates of suicide in the country. That's
2 the same age group most likely to take a drug for
3 hot flashes.

4 Again, the FDA must decide if the benefits
5 of this drug for hot flashes outweigh the risks.
6 The approval decision for hot flashes is different
7 from that for depression or OCD because hot flashes
8 are not fatal.

9 I also want to speak briefly on behalf of
10 breast cancer patients who might consider this drug
11 for hot flashes caused by tamoxifen. FDA
12 scientists expressed concern that paroxetine's
13 effect on the liver enzyme that processes tamoxifen
14 may reduce effectiveness of this cancer drug. The
15 benefits of paroxetine for severe depression may
16 outweigh the risks, even for breast cancer patients
17 taking tamoxifen, but the data to date do not prove
18 that the benefits for hot flashes outweigh the risk
19 for breast cancer patients, or for any other
20 patients.

21 This drug is already available off label for
22 women who want it and in generic form at a similar

1 low dose, making it an easier and less expensive
2 option than the same drug, with a new name
3 specifically approved for hot flushes. Given the
4 risks, if the benefits are questionable, there is
5 no reason to approve paroxetine for this new
6 indication. Thank you.

7 DR. JOHNSON: Thank you. Speaker number 4.

8 MS. KELLEY: Good afternoon. I'm Kathy
9 Kelley, here on behalf of HysterSisters, an online
10 community dedicated to providing GYN medical
11 menopause patient support. I have served as a
12 consultant for Noven on an advisory board, received
13 advertising support from Noven on
14 HysterSisters.com, but I've received no financial
15 support to be here today. Further, I have no
16 financial interest in the outcome of today's
17 hearing.

18 I represent the individual members of
19 HysterSisters.com with almost 300,000 members. I
20 represent women with DVT who cannot take estrogen
21 therapy after an oophorectomy. I represent women
22 who carry the BRCA gene. I represent breast cancer

1 patients. I represent women with endometriosis. I
2 represent the multitude of women who will not take
3 estrogen because they are worried about the
4 possible health risks. I represent the multitude
5 of women who will not take estrogen because they
6 are worried about the possible health risks. These
7 women are truly suffering from hot flashes that
8 cannot or will not take estrogen replacement
9 therapy for their hot flashes.

10 Last month in February, we conducted a
11 survey of HysterSisters to learn attitudes about
12 hot flashes and treatments. We had over 4500
13 respondents. Ninety-three percent stated that they
14 suffered from mild to severe hot flashes.
15 Utilizing comparative data analysis to overlap
16 intensity of hot flashes with each of our
17 questions, this is what we learned.

18 Over half of the members of the women with
19 hot flashes do nothing about them. Three out of 10
20 women who experienced hot flashes do take estrogen.
21 Women with severe hot flashes are more likely to do
22 something about their hot flashes, but still only

1 3 percent take estrogen, and, conversely, over 40
2 percent report doing nothing.

3 Asking how do hot flashes affect your life,
4 we found that 4 out of 5 women who experienced hot
5 flashes say it negatively impacts their sleep.
6 Over half of the women with the hot flashes say it
7 negatively affects their mood. One out of 4 say
8 their family life is negatively affected. Nearly
9 20 percent of women with hot flashes say it affects
10 their relationships with their partners and
11 spouses. Thirty percent say it negatively affects
12 their sex life. When asked if they have concerns
13 about taking hormone therapy, 4 out of 5
14 respondents say yes, they have concerns.

15 No matter the level of intensity of hot
16 flashes, almost 2 in 5 say they've avoided
17 treatment altogether for hot flashes. Nearly
18 one-third focus on alternative treatments, such as
19 supplements, herbs, or cold packs around their
20 necks. When asked, 9 out of 10 women are
21 interested in an FDA-approved, non-hormonal
22 treatment for hot flashes.

1 The results of this survey of 4500 women
2 demonstrate an unmet need in women's health
3 surrounding hot flashes and menopause. These women
4 are asking to have an FDA-approved medication to
5 manage their hot flashes. Thank you.

6 DR. JOHNSON: Thank you. Speaker number 5.

7 MS. ROBSON: Good afternoon. My name is
8 Michelle King Robson, and I'm the founder of
9 EmpowerHER.com, one of the nation's leading women
10 health communities, and I represent the voices of
11 millions of women who come to Empower every single
12 month. I have been a consultant with Noven, but I
13 was not paid to be here today, and I have no
14 interest in the outcome financially.

15 The estimated number of women who suffer
16 from hot flashes in the U.S. varies anywhere from
17 30 million to over 40 million, and we even saw a
18 slide earlier, 250 million. The reality is no one
19 really knows. As I see it, that's problem number 1
20 for us.

21 I'm not here only as a leader in women's
22 health but also as a woman who tried to manage by

1 own dreaded hot flashes, feeling firsthand the
2 humiliation and embarrassment. Flashes, night
3 sweats turn my life upside down. One or two less
4 hot flashes for me would make a huge difference in
5 my life, my daily life. "It's only hot flashes,"
6 is a grave misnomer when perpetuated by comedy
7 routines and even our own doctors. But it's not
8 just hot flashes. It's my health, it's women's
9 health, and it's gravely underserved.

10 We ran a collective survey with
11 HysterSisters, and over 6,000 women wanted a voice,
12 many like me, embarrassed and ashamed. They too
13 are having a hard time functioning. A lot of these
14 women have moderate to severe symptoms, many afraid
15 to take an FDA-approved hormonal treatment. They
16 made it clear they want a non-hormonal option.
17 These women suffer in silence.

18 There's been nothing regulated or approved
19 by the FDA that isn't estrogen or is not a
20 non-hormonal option, which in my opinion, these
21 women are going -- and we see this all the time.
22 They're going for non-traditional treatments, non-

1 traditional treatments that no one has ever
2 approved, or looked at, or studied. I suffer, but
3 not like the women I see on my site; not like Diane
4 who suffered after having her estrogen blocked, hot
5 flash after hot flash. Her clothes drenched and
6 her life forever changes.

7 Then I talked earlier about my dear friend
8 who text me after we started the study and told me
9 about her friend who has ALS. So she suffers from
10 ALS and is paralyzed from the neck down and could
11 not be here and could not also take the survey.
12 But she wanted me to tell all of you today that she
13 suffers too from hot flashes and night sweats. And
14 what's happening? Her husband Pete gets up at
15 least three times in the middle of the night to
16 change her sheets and adjust her covers, something
17 a simple non-hormonal medication could help with.

18 You see, this isn't a life -- (mic timed
19 out.)

20 DR. JOHNSON: Thank you. Speaker number 6.

21 MS. GIBLIN: Hello again. I'm Karen Giblin.
22 I'm the founder of the Red Hot Mamas, which is the

1 largest menopause education program in North
2 America. I have been a consultant for Noven in the
3 past, however, I've not been paid to speak here
4 today, and I've covered my own travel expenses here
5 today. And I want to thank you, again, for
6 allowing me to speak today on behalf of the impact
7 of menopausal symptoms on women and the need for
8 FDA-approved non-hormonal treatment options.

9 In 1991, I was serving as selectman in
10 Connecticut. I had a total hysterectomy. I had
11 severe hot flashes and night sweats. And this
12 created a lot of embarrassment for me, especially
13 when I was conducting a town meeting, where I would
14 break out profusely in a sweat. My face would turn
15 crimson red, and that would create a lot of
16 embarrassment for me and a lot of anxiety while
17 conducting a town meeting. They also occurred at
18 night, and they disturbed my sleep. The next day I
19 was fatigued. I was unable to concentrate. And
20 this, too, was frightening because here I was
21 managing the affairs of 21,000 people.

22 Women in my community began calling me,

1 asking me questions and sharing details about their
2 menopausal symptoms. That's why I developed Red
3 Hot Mamas, to help meet their needs. And today,
4 we've worked in over 200 hospitals, and our
5 programs are free to women, and thousands of women
6 attend these programs each year. Well, many of
7 these women consider me to be their voice of their
8 concerns, so I'm going to share with you a few of
9 those today. So let me read some quotes that are
10 on our bulletin board.

11 The first quote I have is, "I've been having
12 hot flashes and severe night sweats for the last
13 year or so. I've been having them during the day
14 at a rate of 2 to 3 an hour. They're so bad, I'm
15 drenched in sweat. At night, I turn off the heat
16 and open a window. There's a thermostat in my
17 bedroom, and the temperature is in the 50's. I
18 still have to throw off the covers and literally
19 dry off with the ceiling fan on high just to cool
20 myself down. This occurs too many times at night,
21 and I don't sleep at all."

22 Women are concerned about how long their hot

1 flashes are going to last. Here's another quote.
2 "I started having hot flashes when I was 38 years
3 old. I'm now 53. I'm still on medication to
4 control them." Now, I ask all of you, is this the
5 answer we're seeking, a woman staying on hormone
6 therapy for 15 years and over?

7 Hot flashes affect intimacy. Here's another
8 quote. "My husband said last night, every time he
9 wanted to come over and snuggle with me, the heat
10 would radiate. I'd push him back. I said, 'It's a
11 husband repellent.'"

12 Women at work have meltdowns. Here's
13 another quote. "Today, I had a whole day of hot
14 flashes, so many I thought I --" (mic timed out.)

15 (Pause.)

16 DR. JOHNSON: Thank you. Speaker number 7.

17 DR. GASS: Good afternoon. I'm Dr. Margery
18 Gass from the North American Menopause Society.
19 And in consideration of the committee, I'll not
20 repeat my intro slides from this morning, only to
21 say that I'm not here to discuss for or against any
22 of the products being considered, only to highlight

1 the clinical challenge we face.

2 This afternoon, I want to share the consumer
3 survey that we did expressly for this meeting. An
4 invitation was sent to 18,000 consumers, a response
5 rate of 7.7 percent, which is typical for these
6 kinds of surveys, but over 600 comments went along
7 with that. When we asked the key question, do
8 women think there should be a non-hormonal
9 prescription therapy, 89 percent, 90 percent
10 average rounded up, said yes. And when we asked
11 why, here were some of the responses: because of
12 adverse reactions to hormone therapy, 30 percent;
13 because of some women having contraindications,
14 37 percent; and an amazing 85 percent because of
15 the perception that they are unsafe.

16 Eighty-eight percent of these women had hot
17 flashes, and if you look at the pie graph here,
18 you'll see that about 84 percent of them reported
19 them as being moderate to severe. So this is
20 exactly the target audience that these products
21 would be on the market for. And how did they try
22 to handle their hot flashes? They did lifestyle

1 changes, over-the-counter products, hormone
2 therapy. Thirteen percent used compounded hormone
3 therapy, other prescriptions, 11 percent, and some
4 did nothing at all.

5 I want to share with you a few of the
6 comments. I don't want to wear you out with the
7 comments. Karen presented some very nice comments.
8 I'll run through these quickly.

9 "I'm miserable, but now I'm unable to take
10 HT because of a breast condition. This is a
11 definite need for a safe, effective measure."

12 Each paragraph is a different woman.

13 "Menopause can be life-altering, and not in
14 a good way. Between insomnia, mood swings, hot
15 flashes, it can destroy your well-being, impact
16 your relationships and day-to-day living in so many
17 negative ways. Please help."

18 "My symptoms were so bad, I would have to
19 pull over the car because of the sweat in my eyes
20 and feeling that I had to get out of my skin and
21 strip ASAP. This was a horrible journey for me of
22 many years: weight gain, loss of mental acuity,

1 severe hot flashes, depression. I would not wish
2 this on my worst enemy."

3 "I have Factor V Leiden. I've missed many
4 work days and lower productivity due to lethargy,
5 depression, sleep problems, night sweats from
6 perimenopause. This is very expensive to
7 businesses and very demoralizing."

8 "Still freezing my husband out of the
9 bedroom."

10 And this is a message to me. "Emphasize not
11 just hot flashes, but incontinence, bladder
12 infection, atrophic vaginitis -- painful sex."

13 "The fan is my friend."

14 "I thought I was losing my mind, could not
15 sleep."

16 "I continue to suffer greatly from hot
17 flashes."

18 "At wits end. My doctors largely do not
19 take this seriously and also think it's a short
20 phase. For me, it's been seven years."

21 And one succinct comment. "Menopause is the
22 pit of hell."

1 So what comments would I summarize here?

2 (Mic timed out.)

3 DR. JOHNSON: Thank you. Speaker 8.

4 DR. CARTER: Good afternoon. I'm Dr.
5 Christine Carter, and I serve as the vice president
6 for scientific affairs at the Society for Women's
7 Health Research. I was asked to attend one
8 advisory board meeting at the sponsor's treat, if
9 you will, who picked up travel arrangements and a
10 small honorarium. But I received no financial
11 support to be here today, and I have no interest in
12 the actual outcome financially.

13 Our organization, SWHR, is relevant to
14 today's proceedings in that the society for 23
15 years has focused on ensuring not only that women
16 participate in clinical trials, but that clinical
17 trial results be analyzed and reported separately
18 from men and women. In addition, you may not be
19 aware, but SWHR sought and succeeded obtaining
20 authorizations for the offices of women's health at
21 all several of our federal agencies, including NIH,
22 HHS, and the FDA. We continue to engage the

1 scientific community, policymakers, and consumers
2 in dialogue to improve women's health and to
3 increase the participation of women in clinical
4 trials.

5 Today, I have a simple message. Women
6 deserve choices. When the news hit 10 years ago
7 from the Women's Health Initiative trial that
8 menopausal hormones increased the risk to
9 cardiovascular disease, pulmonary embolism, and
10 breast cancer, thousands of women and their
11 physicians decided that they could not or would not
12 risk using estrogen to address the symptoms of
13 menopause. Although the study population for this
14 trial was considerably older than the menopausal
15 age, the message was heard. Women and their
16 physicians suddenly had far fewer choices to manage
17 menopausal symptoms.

18 Interestingly, these very results were
19 largely reversed and reframed years later when
20 subsequent analyses were conducted, but the WHI
21 investigators did little to inform the public of
22 the subsequent findings. Many women began

1 experimenting with non-approved bioidenticals
2 and/or supplements. Physicians used off-label
3 drugs, et cetera, et cetera. And to this day,
4 there remains considerable confusion.

5 We now have convincing data for
6 non-hormonals. So this advisory committee has the
7 opportunity to provide women and their physicians
8 with a choice. Thank you for the opportunity to
9 present.

10 DR. JOHNSON: Thank you. Speaker 9.

11 MS. FRENDT: You're all so serious in this
12 room. You can tell I'm the one on the clinical
13 trial. My name is Dawn Frendt, not paid. I have
14 interest in this drug being approved but no
15 financial. So I think that's what I'm supposed to
16 cover. I have something to read, but I have to
17 talk from my heart. And I'm not supposed to get
18 emotional about it.

19 It changed my life. Twelve weeks, and it
20 changed my life. Five years, hot flashes, waking
21 up every hour and 15 minutes like clock work. I'm
22 an outgoing person; still kind of comes through.

1 But I changed. I needed sleep anytime I could get
2 it. So I pushed my family aside, and I went on the
3 couch every moment I could get. Who you should be
4 talking to is my family, my friends, my co-workers,
5 my sister. I just gave it all up. I was too
6 tired, too tired to deal with it.

7 Then I answered a phone call because my
8 husband was out of work, and I need some extra
9 Christmas money, so I'll pocket that money. But it
10 was a life-changing event for 12 weeks; actually 13
11 because it worked a little bit afterwards. Never
12 once. Never once did I ever have a hot flash when
13 I was on that drug. I woke up the first night
14 going, "Am I alive? What's happened here?" I
15 slept the whole night through for 12 weeks, 13
16 weeks almost.

17 We've got to have something. I need it. If
18 you don't approve for all the women of the world,
19 approve it for me. I need the drug. I need to
20 sleep. I need to be that person I was again. I've
21 tried other drugs. I've tried everything over the
22 counter that my girlfriends have suggested. I have

1 the washcloth and the cold water next to my bed,
2 and it just doesn't work. I'm still waking up just
3 tired, exhausted.

4 People have been very sweet to he, "Oh, how
5 was your wonderful room?" I've never checked into
6 a hotel room -- which they paid for -- alone. It
7 was great, but I was up every couple hours with hot
8 flashes. Those poor gals who cleaned the room,
9 I've got to go back and forth in the bed because it
10 was cold, as I had it down to 50 degrees.

11 I just need to be me again. I know there
12 are lots of options out there. No side effects for
13 me; none at all. I'm not saying that's -- "I had a
14 little bit of depression." That's the thing I keep
15 hearing here. I've learned so much; oh, we're
16 depressed, we're depressed, we're depressed. We're
17 depressed because we're sleep deprived, and then we
18 don't want to eat because we don't feel like eating
19 because we're too tired. We don't want to do
20 things that bring us joy because we're too tired.

21 So I'm just begging -- look, I'm due in 29
22 seconds. I could just keep on going. But I'm just

1 begging you to just really, seriously take it into
2 consideration. I've got a line-up of people that
3 I've met. I just have told everyone, this is my
4 answer, and I know it could be other people's
5 answers, too. So thank you so much.

6 **Questions to the Committee and Discussion**

7 DR. JOHNSON: Thank you very much.

8 The open public hearing portion of this
9 meeting is now concluded, and we will no longer
10 take comments from the audience. The committee
11 will now turn its attention to addressing the tasks
12 at hand, the careful consideration of the data
13 before the committee, as well as the public
14 comments.

15 We will now begin our discussion portion of
16 our meeting. I would ask, because we still have a
17 list of questions both for the FDA as well as for
18 our sponsor, to allow us approximately 35 minutes
19 to allow those questions to be answered, then we
20 will follow with the voting. With the voting, we
21 will do what we did before and go around the room.
22 We'll make brief comments as indicated as we vote

1 on the three questions at hand.

2 But now, let us begin with the discussion
3 portion. I wanted to ask, Dr. Kittelson, did you
4 get all your questions answered? I may have broken
5 you off too quickly.

6 DR. KITTELSON: I think it's okay. But I
7 did want to ask if there had been any evaluations
8 of missing data. It's maybe 10 to 20 percent, and
9 can anybody comment on the influence of missing
10 data on the results or potential for that?

11 DR. LIPPMAN: Yes. I'm going to ask Dr.
12 Blumenstein to come up and address that. And also,
13 we have some additional information on a question
14 you had asked earlier of Dr. Blumenstein as well.

15 DR. JOHNSON: You can proceed with the
16 answers to those questions after the completion of
17 this question.

18 DR. BLUMENSTEIN: Slide up, please. As you
19 can see, the amount of data that was lost in
20 12 weeks is approximately a little bit more than
21 10 percent in both arms. In fact, the relative
22 amount of missing data that didn't make it to

1 week 12 is reversed for the two arms. We also did
2 extensive analyses to find out if the people who
3 didn't make it all the way to 12 weeks were
4 different.

5 Slide up, please. And so what this forest
6 graph shows is the estimated mean for the change in
7 frequency for those that dropped out of the study
8 before week 12 versus those who had something close
9 to week 12 or perhaps after. And as you can see,
10 there's no evidence of a major difference in this
11 primary outcome for these patients. We did
12 extensive analyses on other baseline
13 characteristics and other things of that nature,
14 and we found no difference between those that made
15 it to week 12 and those that did not.

16 DR. KITTELSON: Okay. Thank you.

17 DR. JOHNSON: And some points of
18 clarification?

19 DR. KITTELSON: Yes. Thank you.

20 DR. BLUMENSTEIN: So you had asked
21 about -- I forget the table number, but the
22 multiple outcomes slide that we had showed

1 previously and the table number -- slide up,
2 please -- and the table in the briefing book that
3 was similar to this.

4 The difference is that the fourth line down
5 is the response criteria that was the first line in
6 the briefing book, and we added a few additional
7 endpoints -- we added a few outcomes to this slide
8 over that which was in the briefing book.

9 DR. KITTELSON: I see. So they were largely
10 displaying the same information.

11 DR. BLUMENSTEIN: Yes, that's correct. In
12 fact, I think -- I didn't do a line by line
13 comparison, but --

14 DR. KITTELSON: I did see some that were
15 exactly the same --

16 DR. BLUMENSTEIN: Yes, right.

17 DR. KITTELSON: -- so I was wondering why I
18 was missing --

19 DR. BLUMENSTEIN: So, for example, the first
20 line on this slide is a response criteria based on
21 the baseline median as opposed to an individual
22 patient showing a 50 percent reduction from

1 baseline.

2 DR. KITTELSON: Right. And so the analogous
3 slide 4, Study 3, that's in the briefing document
4 would be similar, and you showed us that, then,
5 when you presented the last time.

6 DR. BLUMENSTEIN: Right.

7 DR. KITTELSON: So I can look at that as an
8 interpretation of a similar result from Study 3.

9 DR. BLUMENSTEIN: Yes.

10 DR. KITTELSON: Okay. Thanks.

11 DR. JOHNSON: Clarification, Dr. Orza?

12 DR. ORZA: Do you have that figure for
13 Study 3 and Study 4 combined? They were quite
14 different.

15 DR. BLUMENSTEIN: Slide up, please. Our
16 general impression is that Study 4 is stronger than
17 Study 3. And in particular, this is true for
18 week 12. And it helps explain why, for example, we
19 might have missed the endpoint, the one endpoint
20 that we missed on Study 12. So as you can see,
21 point estimates for almost all the outcomes are to
22 the right of the line, even at week 12 in Study 3.

1 DR. JOHNSON: Now, we are going back to the
2 FDA questions. Dr. Armstrong?

3 DR. ARMSTRONG: I think this is primarily
4 for the agency, but maybe for the sponsor as well.
5 I think I probably reflect what a lot of people
6 around the table are concerned about, which is that
7 in these studies -- and it's not a criticism of the
8 study because we know that the biggest effect is
9 the placebo effect. And so trying to actually
10 tease out what is the effect of the agent is
11 difficult if you don't have that placebo effect.
12 And we know that patients in studies -- and it was
13 said they get much more attention. They are
14 potentially being seen a lot more. They have
15 potentially support because of that. And so, it's
16 difficult for me to try and tease this out.

17 One of the things that I noted from Dr.
18 Orleans' presentation was that -- one of the
19 recommendations was for ongoing surveillance. So
20 one of the questions is, does the approval
21 process -- and again, I'm most familiar with the
22 process in oncology drugs, but can it be done with

1 the caveat that further studies be done to look at
2 this, to look at what we would call accelerated
3 approval in oncology, where you really are required
4 to get more data? Maybe not in a study situation,
5 but to really try and get a sense of what the
6 actual effect of the drug is in the non-study
7 situation.

8 DR. SOULE: Yes. From a regulatory
9 perspective, that really isn't something we can do
10 in this case. And not to denigrate the importance
11 of this disorder, but it is not a life-threatening
12 condition. And it's really not being approved on
13 the basis of surrogate endpoints, and those are
14 some of the key factors for an accelerated
15 approval.

16 DR. ARMSTRONG: Thank you.

17 DR. JOHNSON: Dr. Gillen?

18 DR. GILLEN: I'd like to just return back to
19 this evaluation of clinical meaningfulness. And I
20 think this is a question both for the FDA and the
21 sponsor. The way the guidelines have currently
22 been set up is to evaluate two -- I wouldn't call

1 them orthogonal but certainly different aspects of
2 what we're dealing with, and that's frequency and
3 severity. But all the clinical meaningfulness
4 outcomes are kind of focused on frequency, and
5 there hasn't been a look at severity in thinking
6 about these things.

7 The dichotomization of the ROC curve, for
8 example, is based upon frequency, and the sponsor
9 actually produced some very nice plots showing a
10 relationship between reported decreases in
11 frequency and the PGI score. And I'm wondering if
12 we have either reported frequencies -- reported
13 distributions in terms of decreases in severity by
14 PGI score or if we've also looked at, for example,
15 clinical meaningfulness as it's attributed to
16 severity as well, and what the importance is there.

17 DR. SOULE: Are you directing that to the
18 FDA?

19 DR. JOHNSON: Are you directing that to --

20 DR. GILLEN: It goes both ways, so I think
21 that you guys had come up with a formulation or an
22 algorithm for defining clinical meaningfulness

1 based upon an ROC algorithm that strictly focused
2 on frequency. And so I'm wondering if there's a
3 concept of incorporating severity in there, if we
4 think that that's an important aspect of an
5 outcome.

6 DR. SOULE: Yes. That's something we'd
7 certainly welcome feedback from you all on. To
8 date, we have applied it only to frequency
9 measures.

10 DR. GILLEN: And then from the sponsor's
11 side -- so slide CT-37, again, shows the
12 distribution of decreases in frequency as a
13 function of PGI score and what patients actually
14 scored themselves as. Did we look at severity
15 there?

16 DR. LIPPMAN: It is true that most of those
17 patient outcomes are correlated to frequency
18 reduction. And remember, severity is a derived
19 score. So at this point in time, I don't know that
20 I can say that we have a separate analysis. Now,
21 in our composite score, we certainly consider in
22 the numerator the frequency and severity, and then

1 we weight them, and we develop a score. And that's
2 why we think that's really a reflection of total
3 patient burden.

4 But in addition to that, perhaps Dr. Portman
5 can give some additional information on severity.

6 DR. PORTMAN: The FDA guidance does ask us
7 to have patients identify at week 4 and week 12
8 severity and frequency, and that is currently the
9 primary outcome. If you look at the Cochrane
10 review, which I put up showing that there's a
11 consistent placebo response across all studies, and
12 the rates of response to hormone therapy, McClellan
13 in that paper recognizes that one of the great
14 variables in all those studies is severity. It's a
15 highly variable score, doesn't have nearly the
16 consistency of frequency. Perhaps it's easier to
17 count hot flashes than grade them. We don't know
18 why that is.

19 Could I have the slide up, please? So
20 that's the reference, the Cochrane review, for your
21 reference. The other scale that we used that
22 identified severity is the Greene Climacteric

1 Scale. If I could get the bar graph from the
2 Greene Climacteric Scale? And that is a rate of
3 severity because when patients are asked -- the raw
4 data, yes. Slide up. So while this doesn't ask
5 patients to specifically to rate the severity of
6 the single hot flash, it does ask the patient on a
7 scale of 1 to 5 how severe various domains in these
8 categories affect them.

9 So it indirectly is a measure of severity,
10 and you see that the vasomotor domain is
11 statistically significant. And I would use that as
12 a surrogate for severity. And if we want to go
13 ahead and put up the next figure.

14 This is looking at the GCS in just a
15 different way, looking at all the domains based on
16 percent maximum possible reduction. And if we do
17 believe that the patients are giving us a view of
18 what their severity of their symptomatology are, I
19 think we do see a treatment effect, a clear
20 treatment effect with the LDMP beyond placebo in
21 all categories and across the study.

22 DR. JOHNSON: I'd like to ask a question to

1 the FDA. Could you actually bring up slide 29,
2 CE-29? Your slide CE-29. Thank you.

3 I just wanted to make sure that I was clear
4 on this. In the briefing documents, you did say
5 that the clinical meaningful improvement was seen
6 at week 4 but not at week 12. So am I correct in
7 your assessment that clinical meaningful
8 improvement was not seen at week 12? Is that
9 correct?

10 DR. SOULE: I'll try this one. I think the
11 difficulty with this is that it was not a
12 prespecified primary analysis. So what we were
13 reporting, although they're not labeled on this
14 slide, are really nominal p values. I think you
15 want to look at the totality, so I would look at
16 both the responder rates and the p values. But we
17 don't look at this as a strict statistical
18 hypothesis test as we would with a primary
19 analysis.

20 DR. JOHNSON: Yes, and I did hear that
21 before, but I'm just -- to quote your statement on
22 your overall summary of efficacy, I just want to

1 make sure I'm clear that the FDA's impression is
2 that clinical meaningful improvement was not seen
3 at week 12. Am I correct that that's your
4 interpretation?

5 DR. SOULE: Yes. That's what we stated.
6 Yes.

7 DR. JOHNSON: Now, I would like to look for
8 the sponsor and ask you to compare your impression
9 of the clinical meaningful improvement and the
10 difference you would see between the slide 31 and
11 32 versus this slide 29, and tell me what the
12 difference is in terms of interpreting clinical
13 meaningful improvement.

14 DR. LIPPMAN: So I'd like to ask Dr.
15 Blumenstein to come back up and talk about the ROC
16 analysis.

17 DR. BLUMENSTEIN: Slide up, please. So I
18 agree with the FDA about the interpretation of the
19 p value for the ROC analysis. What we're showing
20 here is just for the PGI, the bi-arm for Study 3.
21 And this is the best we can do with respect to what
22 the patient reports to us with respect to that

1 outcome dichotomized to show us a response.

2 DR. JOHNSON: What is this measuring?

3 DR. BLUMENSTEIN: The impression of
4 improvement.

5 DR. JOHNSON: So the same 1 through 7 score?

6 DR. BLUMENSTEIN: Yes. I mean, as a way of
7 explanation, the analysis that was done with the
8 ROC -- using the ROC methodology resulted in a
9 cutoff for the frequency. That was the whole
10 purpose of going through the ROC methodology. Once
11 you had the cutoff, then frequency was dichotomized
12 based on that cutoff to be responder or non-
13 responder. We also had other definitions of
14 responder. That is, we had the 50 percent
15 criterion. You saw also I did something with
16 respect to whether the patient was above or below
17 the baseline median for frequency response and so
18 forth.

19 So one has many choices to make with respect
20 to what a responder looks like. And if I can show
21 the next slide, one of the more useful ways of
22 looking at it that we found was in this cumulative

1 incidence graph. And what we're showing here is
2 we've defined a durable responder as a woman who is
3 experiencing a 50 percent or greater reduction for
4 four successive weeks. And we have versions of
5 this for longer definitions of response. And what
6 we're showing here is that the women meeting this
7 criterion of response; that is, including both
8 achievement of a reduction and the durability of
9 the reduction, is we're able to show it in this way
10 so that you can see there's a difference between
11 the arm and the women who achieve that.

12 If I could have the next slide up? So in
13 this case, what we did is just simply changed it to
14 be an 8-week criterion. And as you can see, the
15 cumulative incidence lowered, that is, we didn't
16 achieve as many women making that criterion. But
17 we have the same rate, and we have the same
18 flattening, and we have the same difference between
19 the arms.

20 DR. JOHNSON: Thank you very much.

21 DR. KITTELSON: While this up -- sorry.

22 DR. JOHNSON: Clarification question?

1 DR. KITTELSON: Just while it's up, did they
2 relapse?

3 DR. BLUMENSTEIN: Yes.

4 DR. KITTELSON: And does 8 week largely look
5 like a cure? Can we think of it that way?

6 DR. BLUMENSTEIN: If I could have the
7 Kaplan-Meier graph of the cessation of state of
8 response? Slide up. So we did companion
9 Kaplan-Meier graphs for those that did achieve the
10 state of response. So this is not a randomized
11 comparison, but this is showing how quickly a woman
12 who has achieved a state of response ends that
13 state of response. And so, as you can see, it
14 isn't like immediate, and it appears as though
15 there's roughly the same between the two arms, for
16 those that achieved the response. Remember, there
17 are more patients in the LDMP arm that achieves a
18 response than otherwise.

19 DR. JOHNSON: More clarification comments on
20 that question? Dr. Orza?

21 DR. ORZA: The first slide that they had up,
22 can we get what the treatment difference is? It

1 shows bars, and it shows p values, but it doesn't
2 actually tell us what the difference was
3 between -- actually --

4 DR. BLUMENSTEIN: Are you talking about the
5 cumulative --

6 DR. ORZA: Could we just see that for all
7 the primary endpoints? There isn't any slide that
8 just shows us what the actual treatment differences
9 were for the primary endpoints.

10 DR. BLUMENSTEIN: Okay. Could I see the
11 cumulative distribution for frequency at, say, week
12 12? That's not the slide.

13 One of the ways -- there becomes many ways
14 of displaying the kinds of data that we've
15 collected here. Slide up, please. And this is one
16 way that we found to be useful and is coming into a
17 more common usage in situations like this,
18 particularly for patient-reported outcomes. And
19 so, what you can see here is we call this -- the
20 statisticians, we call this a cumulative
21 distribution. And it's a little bit hard to
22 understand, but it tells us the probability of

1 having a -10 percent or less by constructing a
2 vertical line from the 10 percent point on the
3 horizontal axis, up to where it intersects these
4 two cumulative distributions. And then you can see
5 how many patients -- what percent of patients had
6 10 percent or less, that is -- a 10 percent or more
7 reduction that is a value for the primary endpoint
8 of 10 percent or less.

9 Looking at it the other way, if you
10 construct -- if you pick a point on the horizontal
11 axis and then go straight out to where it
12 intersects the cumulative distributions, you can
13 see that's where patients receiving, say,
14 50 percent -- for each arm receiving 50 percent of
15 a response, you can see what difference in the
16 measurement would be by looking at the horizontal
17 distance between these two curves.

18 So this is the kind of thing that we've
19 assessed on multiple endpoints to be able to
20 quantify and help us understand the degree to which
21 these things work.

22 DR. JOHNSON: Thank you.

1 DR. LIPPMAN: Do we have a slide on absolute
2 reduction that we could -- perhaps we'd give more
3 information, if it's okay, for this question?

4 DR. JOHNSON: Very, very briefly, please.

5 DR. LIPPMAN: Okay. So what I'm trying
6 to -- because I think you asked to give you a
7 number so you could understand, perhaps, what the
8 actual reduction of hot flashes is.

9 DR. ORZA: Just the basic, what is the
10 actual treatment difference on the primary
11 endpoints. We've seen everything but that. We've
12 seen it in the FDA slides, but we haven't seen it
13 in your slides. What is the difference in terms of
14 numbers of hot flashes and degree of severity?

15 DR. LIPPMAN: Slide up, please. So here's
16 the absolute daily reduction in frequency between
17 the two groups in Study 3 and Study 4.

18 DR. ORZA: Right, but I have to do the math
19 myself, right? I have to subtract 3.14 from 4.29.
20 Do you have a slide that does the math for me, is
21 what I'm asking for.

22 DR. LIPPMAN: Yes. Can you put the forest

1 plots up? Dr. Bhaskar will come up and discuss
2 that.

3 DR. BHASKAR: So the difference in Study 3
4 at week 4 was 1.3, and for Study 4 at week -- for
5 Study 3 at week 12 was .9. And the difference in
6 Study 4 at week 4 was 1.3, and the difference at
7 week 12 was 1.7.

8 DR. JOHNSON: Thank you. Dr. Curtis?

9 DR. CURTIS: I think I asked my question
10 during the FDA session.

11 DR. JOHNSON: Dr. Montgomery Rice?

12 DR. MONTGOMERY RICE: I need just a little
13 bit of clarity, and, FDA, you can answer this. I
14 want to make sure that I understand. Based on your
15 four co-primary efficacy endpoints, frequency at
16 baseline, weeks 4 and 12, there was a yes, they met
17 that. And then severity from baseline to week 4
18 was a yes. And then severity from baseline to
19 week 12 was a no, based on the data that I saw.

20 Is that correct?

21 DR. GUO: Yes, you're correct.

22 DR. MONTGOMERY RICE: Okay. Now, those were

1 the prespecified agreements. But clinical
2 meaningfulness was not a prespecified agreed --

3 DR. GUO: Analysis of clinical
4 meaningfulness is also prespecified in the study
5 protocol in the analysis plan, but it's a
6 supportive analysis. So the study was not powered
7 to detect a difference for the clinical
8 meaningfulness. And also --

9 DR. MONTGOMERY RICE: Okay. So it wasn't
10 powered for that. That's what I want to get to.

11 DR. GUO: Not powered for that. Only
12 powered for the co-primary endpoints.

13 DR. MONTGOMERY RICE: And you had to have
14 that difference of 2 hot flashes. Correct? You
15 had to have some difference of 2 in order to go on
16 to be qualified to do the clinical meaningfulness.

17 DR. GUO: No.

18 DR. SOULE: No. I'm sorry. Actually, the
19 opposite. We use that as a supportive analysis if
20 the difference over placebo is less than 2.

21 DR. MONTGOMERY RICE: Is less than 2.

22 DR. SOULE: Right.

1 DR. MONTGOMERY RICE: Okay. That's what I
2 meant.

3 And then for the sponsor, Dr. Portman, I
4 want to make sure I understand something. In
5 you-all's submitting data, what you talked about
6 here was that 4.5 million prescriptions --
7 paroxetine for approved indications, in the past
8 you had 3.3 million for SSRIs to treat VMS. And of
9 that, 2.4 were SSRIs, meaning none SNRIs, I assume,
10 and 250,000 were for paroxetine. And the common
11 dose was 20 milligrams to 40 milligrams.

12 So we had 250,000 prescriptions of this
13 product in a higher dose. What were the others? I
14 mean, because you've got 2 million other
15 prescriptions that look like they're being treated
16 for -- used for VMS also. Is that correct? Am I
17 interpreting this correctly, based on what you-all
18 put in here?

19 DR. PORTMAN: The IMS -- you can go ahead
20 and put this slide up. This breaks down the
21 prescribers' diagnosis and the various doses. So
22 you can see there's a variety of doses, but the

1 majority of the doses were 20 and 40 for the
2 prescriptions for VMS with paroxetine.

3 DR. MONTGOMERY RICE: But you've got a lot
4 of other SSRIs being used to treat VMS. I'm just
5 thinking about how we practice. So a patient comes
6 in. She has hot flushes and she has depression.
7 And I know you're going to tell me you're going to
8 send her to a psychiatrist to get evaluated for
9 depression. So let's clear that up. You went to a
10 psychiatrist. He said an SSRI would be a good
11 drug.

12 How do you decide you're going to give 7.5
13 or 10 or 20 if she's got hot flushes and that?

14 DR. PORTMAN: Well, right now, there's no
15 guidance. It's based on people's review and
16 interpretation of the literature. And I think that
17 the message that has been sent is that higher doses
18 are better. If the average dose that the GYN and
19 PCP is prescribing is a 20- or 40-milligram dose, I
20 assume they're doing that for the other SSRIs and
21 SNRIs as well. And I think that what's helpful
22 here is that we have seen that lower doses may be

1 as effective, better tolerated, and with some
2 guidance, we might be able to keep an eye on safety
3 signals as well.

4 DR. MONTGOMERY RICE: But we don't have any
5 data that says that this lower dose is as effective
6 as that 10 or 20-milligram.

7 DR. PORTMAN: We have no comparative data,
8 no.

9 DR. MONTGOMERY RICE: Okay.

10 DR. JOHNSON: Thank you. We'll allow time
11 for just two more questions after a comment by
12 FDA.

13 DR. SOULE: I just want to clarify one
14 thing, Dr. Montgomery Rice. You asked about our
15 interpretation on the co-primary endpoints. Only
16 one of the studies failed on the severity endpoint
17 at week 12. So I didn't want to leave you with the
18 impression that we thought both of them had failed.

19 DR. MONTGOMERY RICE: Just one of them.

20 DR. SOULE: Just one. Yes.

21 DR. JOHNSON: Dr. Rosen.

22 DR. ROSEN: I just wanted to ask the sponsor

1 and also the FDA about the discontinuation that was
2 presented in slide 34. For the FDA first, was
3 there a statistical significance to the fact that
4 there was a much greater rate of recurrence of
5 symptoms in those individuals who were treated with
6 active drug versus placebo?

7 DR. ORLEANS: Not that I'm aware of. This
8 is just descriptive.

9 DR. ROSEN: Descriptive. Okay.

10 And has the sponsor done any studies looking
11 at post -- or discontinuation of the drug to see if
12 this is a significant side effect? That is, once
13 you stop Paxil, you actually would get more hot
14 flashes?

15 DR. LIPPMAN: The DESS was actually done
16 within a week of discontinuation.

17 DR. ROSEN: And is there any known effect
18 from discontinuing SSRIs in terms of more rapid
19 occurrence of symptoms such as hot flashes?

20 DR. LIPPMAN: That is not known at this
21 time.

22 DR. ROSEN: I had one other comment. I just

1 want to make clear to the record that although Dr.
2 Watts did mention that he didn't find anything
3 about paroxetine in fractures, there are several
4 meta-analyses showing an increased risk of fracture
5 with long-term therapy of SSRIs; mostly in older
6 individuals, but they range from a relative risk of
7 1.4 to 2. So there is definitely evidence in the
8 literature now. Three meta-analyses and one
9 registry study from Norway recently published
10 showed this, so I wanted to make that clear.

11 DR. JOHNSON: Thank you. And our last
12 question from Dr. Dobbs.

13 DR. DOBBS: A slide went up -- very
14 quickly -- by the sponsor on efficacy between
15 races. And it is as if the African American
16 population had a poor response than did Caucasians.
17 Did I interpret that wrong?

18 DR. LIPPMAN: Could I please have the slide
19 up on the -- slide up, please. So this was the
20 slide I presented, and the point estimates are all
21 in the same direction.

22 One other way I could approach this is we do

1 have a pharmacokinetic study. It's a small study,
2 but it was a single- and multi-dose study. And it
3 actually had about 22 subjects, an equal number of
4 Caucasian and African Americans. And, actually,
5 when we analyzed the group separately, the curves
6 matched, but the area under curve was actually a
7 little bit higher amongst African Americans.

8 DR. DOBBS: Because here, only at 4 weeks
9 does it show efficacy for the non-Caucasian;
10 everything else, it crosses.

11 DR. LIPPMAN: I'm going to ask Dr.
12 Blumenstein just to comment a bit further on that.

13 DR. BLUMENSTEIN: Yes, we did extensive
14 modeling of the outcome with respect to multiple
15 covariates. And of particular interest was the
16 relationship that you see here between the racial
17 status, either Caucasian or not or African American
18 or not. We also involved BMI in that, and we
19 weren't able to find any statistical evidence of an
20 interaction that would explain what you see here.
21 Another way of saying that is that there's nothing
22 statistical here that this is consistent with

1 chance.

2 DR. JOHNSON: Well, I would like to thank
3 all our members of our committee for your
4 questions, and I would like to appreciate the FDA
5 and the sponsor for the time and effort put into
6 our answers.

7 Now, we will proceed with the voting
8 questions. For voting questions, we will use our
9 electronic voting system. Once we begin to vote,
10 the buttons will flash and will continue to flash
11 until you've completed your vote. Please press
12 firmly with the button that corresponds with your
13 vote. If you are unsure of your vote or you wish
14 to change your vote, you may press the
15 corresponding button until the vote is closed.
16 When everyone has completed their vote, then the
17 voting will be locked.

18 The voting will then be displayed on the
19 screen, and the federal officer will read the vote
20 for the record. Then we will go around the room
21 and each individual will state their name and their
22 vote into the record. If you have any comment that

1 is significant to make at that time, please feel
2 free to do so.

3 Let's proceed with our first question.
4 Based on the prespecified analysis, is there
5 significant evidence to conclude that paroxetine is
6 effective in treating moderate to severe vasomotor
7 symptoms associated with menopause? If you would
8 please vote.

9 (Vote taken.)

10 MS. BHATT: The voting results, yes, 7; no,
11 7; abstain, zero; no voting, zero.

12 DR. JOHNSON: If we could start with Dr.
13 Schwarz.

14 DR. SCHWARZ: I voted no that I didn't think
15 all the prespecified outcomes were demonstrated to
16 be significantly effective, though I was impressed
17 that some of them were close.

18 DR. GILLEN: Daniel Gillen. I also voted
19 no, mainly for the magnitude of effects. And to be
20 quite honest, the high variability in measuring
21 severity that was coming up, particularly in the
22 treatment arm during the 12-week, the 4 to 12-week,

1 is questionable to me. It's curiously
2 been -- whether it was met -- I mean, I know that
3 statistically it was met in one of the trials, but
4 what that means to an actual patient is unclear to
5 me still at this point.

6 DR. KEYES: Linda Keyes. Well, we know that
7 the studies didn't meet all four primary endpoints.
8 That's why --

9 DR. JOHNSON: Please state how you voted.

10 DR. KEYES: Yes. I voted yes. That's why
11 we're here. But this one came awfully close, and I
12 did think that the preponderance of evidence point
13 towards modest-small, but probably a very real
14 effect. I share Dr. Gillen's concerns about the
15 magnitude of the severity effect.

16 DR. DOBBS: Adrian Dobbs. I voted yes. I
17 value more the issue of frequency than severity. I
18 agree that this probably -- it's very difficult to
19 define severity. And I felt it was a modest
20 effect, but, all in all, it's a reasonable safe
21 option for women. And I thought the primary
22 outcomes really were consistent and were found, and

1 it was only that clinical global that was a little
2 questionable statistically.

3 MS. ARMSTRONG: I'm Deborah Armstrong. I
4 voted no. It did not meet all the primary
5 endpoints, particularly in 003, which was the study
6 that was a subject of the SPA. And also, I think a
7 low magnitude of the effect, and, as I stated
8 before, the confounding influence of the placebo
9 effect.

10 DR. CLARKE: Bart Clarke. I voted yes
11 because I think the co-primary endpoints met
12 criteria except for the one time, the week 12 in
13 Study 3 for severity. And again, I value the
14 frequency, I think, a bit over the severity, even
15 though they're both valid endpoints. So I voted
16 yes for this reason, and I'm still concerned about
17 the magnitude of effect being very small.

18 DR. ROSEN: I voted yes as well, and I
19 do --

20 DR. JOHNSON: If you could state your name.

21 DR. ROSEN: Cliff Rosen. I voted yes. And
22 I value frequency and this one endpoint on

1 severity. I think the problem here -- and it's
2 going to be the problem with any non-hormonal
3 therapy -- is the placebo effect is so strong that
4 it's going to be very difficult for any agent to
5 meet those criteria. So I thought they came as
6 close as they probably can ever come this close to,
7 for question 1.

8 DR. JOHNSON: Julia Johnson. I voted yes.
9 Along with others, I was concerned about the no-
10 effect with severity in study number 3. And the
11 difference between study number 3 and 4 were
12 concerning to me, but having met 3 of the 4
13 criteria, I thought that it was reasonable to
14 consider this moderate effect.

15 DR. MONTGOMERY RICE: Valerie Montgomery
16 Rice. I voted yes. Any of us who are clinicians
17 know that severity is very subjective, based on the
18 environment in which the hot flush occurs.
19 Frequency is either yes or no. And so I value that
20 more so. And when you look at the effect -- and I
21 believe it came very close. So when you look at
22 the totality of the data, even though it was a

1 modest effect, I think it was beneficial.

2 DR. CHAI: Toby Chai. I voted no. My main
3 issue here was the difference between the two
4 studies in terms of they didn't seem to look
5 similar. And I understand that it was very close
6 in study number 3 for one of the variables. But I
7 just thought that the overall evidence is that it
8 was not balanced -- not all four the same effect.

9 DR. ORZA: Michele Orza. I voted no. I
10 thought that the size of the effect was similar to
11 the last drug we looked at in terms of frequency.
12 And I thought that the severity was much less than
13 the last drug and almost negligible.

14 DR. KITTELSON: John Kittelson. I voted no,
15 primarily because of the -- by primary prespecified
16 analysis, it didn't meet all four. The asterisk
17 got put in. And agreeing with them in many of the
18 other no comments, the asterisk gives -- I think,
19 as noted, it's going to be very difficult for drugs
20 to meet efficacy given current guidelines, and
21 those are draft guidelines.

22 Perhaps it's time to revisit and try to

1 think about the relationship between severity and
2 frequency and how those would be better addressed
3 because I think the need is very clear, and perhaps
4 the hurdle is set someplace that's difficult.

5 Thanks.

6 DR. CURTIS: Kate Curtis. I voted no. And
7 being almost at the end of the line, I don't have
8 any additional reasons for voting no. I agree with
9 the ones that were mentioned.

10 DR. BOCKMAN: Richard Bockman. I voted yes
11 because I felt, in a very narrow way, they did meet
12 the prespecified analyses statistically, but I
13 think the difference is really very small and
14 weak.

15 DR. JOHNSON: So in summary, reasons for
16 voting yes included that there was some moderate
17 effectiveness; that even though it barely met
18 criteria, there was a significant placebo effect,
19 and that would impair the ability to find a
20 significant effect of any non-hormonal medication.
21 Some concerns were variation in the results,
22 especially the difference between Study 3 and

1 Study 4, and that, indeed, it met three out of the
2 four criteria, not truly all four. So perhaps
3 these are criteria that are too challenging, but an
4 argument could be made that it did not meet the
5 specified criteria.

6 So our next question, based on the
7 prespecified analysis, is there significant effect
8 to conclude that the change in baseline in VMS
9 frequency is clinically meaningful to women? Please
10 vote.

11 (Vote taken.)

12 MS. BHATT: The voting results, yes, 4; no
13 is 10; abstain, zero; no voting is zero.

14 DR. JOHNSON: Let us again go around. This
15 time we'll start with Dr. Bockman.

16 DR. BOCKMAN: I had to look how I voted.

17 (Laughter.)

18 DR. BOCKMAN: I preface my comment that
19 there is such a slight difference, I think, between
20 the placebo and the drug. But it's very clear that
21 for some, it does make a difference. So I don't
22 really know how to conclude. I'm sorry. I can't

1 justify my vote.

2 DR. CURTIS: Kate Curtis. I voted no, and
3 I'll admit that I struggled with this one as well.
4 But the fact that only one study had a prespecified
5 analysis of clinical meaningfulness, which was
6 significant at week 4 but was either not
7 significant or not powered to look at
8 week 12 -- and also, there was that large placebo
9 effect, and that really counted for the difference
10 between week 4 and week 12 -- was an increase in
11 the placebo and really no change in the drug
12 effect -- that led me to vote no.

13 DR. KITTELSON: John Kittelson. I voted yes
14 for reasons that are hard to articulate.
15 Primarily, if I look at the strict meaning of the
16 question VMS frequency, it met those conditions. I
17 also think there was a preponderance of evidence in
18 the personal assessment of efficacy that was
19 important and needed to be considered. And so I
20 came down the yes side on this one.

21 DR. ORZA: Michele Orza. I voted no for
22 reasons that are equally difficult to articulate.

1 And it did have to do with how the question is
2 phrased because what we keep calling the placebo
3 effect is actually -- you can get a 50 percent
4 reduction in your hot flashes just from all the
5 other things that they're doing. And so the
6 question is really that additional 5 or 10 percent
7 that you're getting from the drug; is that
8 meaningful. And I didn't see a clear signal that
9 that little additional percent, which is what we're
10 talking about, was meaningful.

11 DR. CHAI: Toby Chai. I voted no. Also
12 hard to articulate, but it's been articulated --

13 (Laughter.)

14 DR. CHAI: -- in some way or form or
15 fashion.

16 DR. MONTGOMERY RICE: Valerie Montgomery
17 Rice. I voted yes, and I based that on looking at
18 frequency, the personal assessment data. And I
19 looked at the data that looked at the responder
20 rates at weeks 4 and 12, and then I looked at the
21 persistence of the efficacy at week 24. And I know
22 that women are looking for something that works

1 fast and that continues to work as long as they're
2 taking the medication. And that was clearly shown
3 in Study 004; the responder rate was higher in the
4 paroxetine at week 24.

5 DR. JOHNSON: Julia Johnson. I voted no. I
6 was concerned regarding clinical meaningfulness and
7 the ability to demonstrate that using the mode that
8 was provided by the FDA.

9 DR. ROSEN: Cliff Rosen. I voted no. I'm
10 just not sure that there's clinical meaningfulness.
11 And I'm really still troubled by the persistence of
12 benefit from week 12 to 24 because placebo has it,
13 as well as the active drug. And I think it's
14 really hard for me to sort out what's happening in
15 this study that's really different with the active
16 compound.

17 DR. CLARKE: Bart Clarke. I voted no,
18 mainly because of the small magnitude of effect.

19 MS. ARMSTRONG: Deborah Armstrong. I voted
20 no as well. Again, as I said before, I think
21 because the biggest effect here is the placebo
22 effect, it's really hard to determine what part of

1 what you see, change from the baseline, is actually
2 due to the drug and what's due to placebo.

3 DR. DOBBS: Adrian Dobbs. I voted yes. I
4 have no problem with the placebo effect. I think
5 it's real, the physiological effects that a placebo
6 does that works in every single disease state. It
7 was a slight difference above placebo here that I
8 felt comfortable with that it would be helpful.

9 DR. KEYES: Linda Keyes. I voted no,
10 largely because the significance of the effect
11 declined between weeks 4 and 12. I think it is
12 possible that there is an effect there, but I
13 cannot say it has been demonstrated to be
14 meaningful.

15 DR. GILLEN: Daniel Gillen. I voted no. I
16 also agree that the placebo effect is real, but,
17 again, my no vote really comes from the magnitude
18 of the added effect of the drug relative to what
19 the placebo effect is. For example, a .9 decrease
20 relative to a 5 decrease in the placebo arm in
21 Study 3 and 1.7 in Study 4.

22 Just while we're on this topic, since the

1 question really was phrased in terms of frequency,
2 again, and we thought about this algorithm for
3 defining clinical meaningfulness as this
4 prespecified secondary analysis, if you will, I
5 think if severity is going to be considered also as
6 a co-primary endpoint, we need to consider what
7 clinical meaningful severity changes are, actually.
8 It seems to me that we can rationalize what
9 frequency changes are because there was already a
10 threshold that was made, and said, look, if it's
11 less than 2, then we'll go to this other analysis
12 and look at it. So we already have some concept
13 there that we're making. We're putting a judgment
14 on what clinical meaningfulness is, in decreases in
15 frequency.

16 I think that thinking about going forward
17 with this, a similar approach could actually be
18 taken to come up with a composite for frequency and
19 severity. Because you're basing it on an ROC curve
20 now, a risk score can actually be developed across
21 severity and frequency that can be used, then, to
22 judge, based upon the patient's perception of

1 improvement on those two measures. And you could
2 think about how to weight those two things.

3 Again, if you're going to think of them as
4 co-primary endpoints, we need to consider what a
5 clinical meaningful difference is on both of them.

6 DR. SCHWARZ: Bimla Schwarz. I voted yes,
7 predominantly because I was impressed with the data
8 presented as a composite score that combined both
9 frequency and severity scores. I think that the
10 reason we did placebo-controlled trials was to look
11 at the difference between the placebo and the
12 active treatment, and I think we're seeing a signal
13 there.

14 DR. JOHNSON: Well, thank you to the
15 committee. Just in summary, although it was hard
16 to articulate, the overall thoughts were that there
17 was limited clinical meaningfulness and that,
18 indeed, the significance of the change was small.
19 However, seen on the other side, severity is a
20 difficult tool to measure, and their placebo effect
21 is significant. There was also thought that,
22 indeed, the data presented did appear that there

1 was long-term benefit and that potentially there is
2 a positive use of this medication.

3 So now we will do our last question. Is the
4 overall risk/benefit profile of paroxetine
5 acceptable to support approval of this product for
6 the proposed indication? Please vote.

7 (Vote taken.)

8 MS. BHATT: The voting results, yes, 4; no
9 is 10; abstain is zero; no voting is zero.

10 DR. JOHNSON: Now, let us start again with
11 Dr. Schwarz.

12 DR. SCHWARZ: Hi. I voted yes because I
13 think there was some evidence of benefit. I'm not
14 worried about the safety profile of this already
15 FDA-approved drug, and think if we are worried,
16 then the way to address that is to help women
17 access a lower-dose version of it. And I do feel
18 that the stigma of having it only labeled for
19 psychiatric indication limits the number of women
20 who are currently using this as an off-label
21 treatment.

22 DR. GILLEN: Daniel Gillen. I voted no,

1 again, going back to the previous answer in terms
2 of clinical benefit and looking at the magnitude of
3 the treatment effect relative to the magnitude of
4 the placebo effect, where there is no risk
5 involved. And so that was what my basis of my
6 judgment is.

7 DR. KEYES: Linda Keyes. I voted no. I
8 think in this case, the magnitude of the benefit
9 was quite small, and the risk profile appeared
10 rather problematic. In addition, there is the
11 possibility of off-label use at a dose that's very
12 close to the dose presented here, and I did not
13 feel that they adequately provided justification
14 for the 7.5-milligram dose. So they haven't shown
15 that this optimized the trade-off between risk and
16 benefit. And so, it was difficult for me to see
17 how this is superior to, say, a 10-milligram dose.

18 DR. DOBBS: Adrian Dobbs. I voted yes. I
19 felt that there was, however small, a benefit above
20 placebo, and it was safe, and it has a role for a
21 subset of women. And I want to comment that
22 probably the ideal study design for many studies is

1 an active, a placebo, and a do-nothing arm, but,
2 obviously, those studies become very expensive.

3 MS. ARMSTRONG: Deborah Armstrong. I voted
4 no. Concerns were not safety. I guess I would
5 have been surprised if there were new toxicity or
6 safety issues identified using the lower dose, and
7 there weren't. It's the benefit part of the
8 calculation, as evidenced by my two prior no votes.

9 DR. CLARKE: Bart Clarke. I voted no,
10 agreeing with Dr. Gillen, basically.

11 DR. ROSEN: I voted no, based on my
12 previous -- Cliff Rosen. I voted no, based on my
13 previous rationale and the lack of strong support
14 for an indication. I will make one comment, and
15 that is that I do empathize with the yes votes
16 because, in some ways, having an indication might
17 allow us to have better surveillance over who's
18 getting this drug and what is happening to it, and
19 how it's being utilized, which we really have very
20 strong difficult figuring out right now.

21 DR. JOHNSON: Julia Johnson. I voted no.
22 Although the risk is small and I agree it doesn't

1 appear to be any different than the more standard
2 doses of this medication, we have very little
3 information about this dose. There isn't long-term
4 surveillance to know, and we did see some effect on
5 suicidal ideation. And I actually was somewhat
6 concerned that there may be a greater effect than
7 seen. Having said that, also a contributing
8 factor, as already mentioned, is the relatively low
9 effect on the patients who use it.

10 DR. MONTGOMERY RICE: Valerie Montgomery
11 Rice. I voted yes. I was not as concerned about
12 the safety, based on the information that I saw. I
13 also think that, based on the numbers that we're
14 seeing of prescriptions that are written,
15 we have to be realistic about how medications are
16 going to be clinically used when there has been
17 some proven benefit. I thought the sponsor put
18 forth a reasonable surveillance and follow-up
19 program for us to monitor this further, as well as
20 the appropriate warnings that would need to be
21 considered and the follow-up. And I do believe
22 that there is a role for non-hormonal therapy in

1 women with these moderate to severe symptoms.

2 DR. CHAI: Toby Chai. I voted no, based on
3 my prior two votes, where I didn't think there was
4 sufficient evidence on the prespecified analysis
5 for the co-primary outcomes, and also the lack of
6 clinical meaningfulness. And finally, I share some
7 of the concerns over side-effect profile,
8 suicidality and osteoporosis, and that's how I
9 justified my vote.

10 DR. ORZA: Michele Orza. I voted no for
11 reasons that have been well said by others.

12 DR. KITTELSON: John Kittelson. I voted no.
13 In this case, the risks of the whole class,
14 reinforced by the minor signals here, made me worry
15 about a yes vote; that the benefits were not big
16 enough to offset that. It might be in future work
17 that better work on endpoints and combining
18 severity and frequency into a more robust endpoint
19 would help overcome some of those concerns. But at
20 the moment, the risk and the class were too much to
21 justify a yes vote.

22 DR. CURTIS: Kate Curtis. I voted no again,

1 based on my prior two votes, and the very modest
2 effect and the lack of clarity around clinical
3 meaningfulness.

4 DR. BOCKMAN: Richard Bockman. I voted yes.
5 I think there's a very small beneficial effect from
6 this drug. And I think it's widely used, and
7 there's wide experience with this drug. And I
8 think this very small dose is probably safe, and I
9 think it's time to sort of legitimize its use.

10 **Adjournment**

11 DR. JOHNSON: Thank you very much. So in
12 summary, the minimal effect of the medication was a
13 concern. There was limited concern regarding risk
14 but some raised regarding suicidal ideation. If
15 indeed it had been approved, then it could be more
16 closely monitored, and it would allow a
17 non-hormonal medication to be available for
18 patients. But overall, the benefits were minimal
19 and did not outweigh the risks.

20 Thank you again for all of the comments from
21 the team. I would now like to thank the FDA, as
22 well as the sponsor, for your very hard work, and

1 to the committee for your careful attention of this
2 issue. I would like to thank everyone, and we are
3 adjourned.

4 DR. JOFFE: This is Hylton Joffe from FDA. I
5 just want to echo a thank you as well to the
6 advisory panel, to both applicants from both
7 sessions today, to the presenters for the open
8 public hearing. I think the discussion and
9 presentations were very helpful, and we'll
10 carefully consider what we hear today when we make
11 our recommendations.

12 I also want to thank Dr. Johnson for
13 facilitating very nicely a jammed-pack, two-session
14 advisory committee meeting in one day. And also,
15 last but not least, Kalyani Bhatt and Lisa Soule,
16 who are behind the scenes and have played a major
17 role in helping FDA prepare for this advisory
18 committee today. So thank you.

19 DR. JOHNSON: You're welcome.

20 (Whereupon, at 5:03 p.m., the afternoon
21 session was adjourned.)

22